

FEDERAL TRADE COMMISSION

HOMEOPATHIC MEDICINE & ADVERTISING

Monday, September 21, 2015

9:30 a.m.

Federal Trade Commission

Washington, D.C.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

## FEDERAL TRADE COMMISSION

## I N D E X

1		
2		
3		
4		PAGE:
5		
6	Introductory Remarks, Gregory W. Fortsch	3
7		
8	Opening Remarks, Maureen K. Ohlhausen	7
9		
10	Panel 1: Homeopathic Industry & Advertising	15
11		
12	Panel 2: Scientific Support for Homeopathic	
13	Advertising Claims	67
14		
15	Panel 3: Legal/Regulatory Issues Presented by	
16	Homeopathic Advertising	129
17		
18		
19		
20		
21		
22		
23		
24		
25		

## 1 INTRODUCTORY REMARKS

2 MR. FORTSCH: Good morning. My name is Greg  
3 Fortsch, and I'm an attorney with the FTC's Division of  
4 Advertising Practices. I want to welcome all of you today to  
5 today's workshop and thank you for coming out in person or  
6 listening via webcast.

7 Before we get started today with our substantive  
8 program, I need to review some administrative details.  
9 Please silence any mobile phones and other electronic  
10 devices. If you must use them during the workshop, please be  
11 respectful of the speakers and your fellow audience members.

12 Please be aware that if you leave the Constitution  
13 Center building for any reason during the workshop, you'll  
14 have to go back through the security screening again. Please  
15 bear this in mind and plan ahead, especially if you're  
16 participating on a panel so we can do our best to remain on  
17 schedule.

18 Most of you received a lanyard with a plastic FTC  
19 event security badge. We reuse these for multiple events, so  
20 when you leave for the day, please return your badge to event  
21 staff.

22 If an emergency occurs that requires you to leave  
23 the conference center but remain in the building, follow the  
24 instructions provided over the building PA system.

25 In the unlikely event that an emergency occurs that

1 requires the evacuation of the building, an alarm will sound.  
2 Everyone should leave the building in an orderly manner  
3 through the main 7th Street exit, which is on that side.  
4 After leaving the building, turn left and proceed around 7th  
5 Street and across E Street to the FTC emergency assembly  
6 area. Remain in the assembly area until instructed to return  
7 to the building.

8           If you notice any suspicious activity, please alert  
9 building security. Please be advised that this event may be  
10 photographed. It is webcast, and it is recorded. By  
11 participating in this event, you are agreeing that your image  
12 and anything you say or submit may be posted indefinitely at  
13 FTC.gov or one of the Commission's publicly available social  
14 media sites.

15           Restrooms are located in the hallway just outside  
16 this conference. The cafeteria is currently open. It's open  
17 until 10:00 with a limited menu from 10:00 to 11:00. It  
18 opens for lunch at 11:00 and is open until 3:00, with a  
19 limited menu from 2:00 until 3:00.

20           If you're interested in submitting a comment for  
21 the panel to possibly address during their discussion, there  
22 are comment cards outside the conference room on the table  
23 where there are nametags, as well. The gentleman waving his  
24 hand right here will be walking around to collect those  
25 comment cards. If your comment doesn't make it to the panel,

1 never fear, there are comments that you can make online with  
2 the Federal Trade Commission until November 20th. And the  
3 links are available on the website.

4 And I should mention at the outset that any views I  
5 express today are my own and do not necessarily represent the  
6 views of the Commission, any other Commission official, or  
7 any individual Commissioner. And this goes for the other  
8 government employees serving as panelists and moderators  
9 today.

10 I now have the honor and pleasure to introduce  
11 Commissioner Maureen Ohlhausen, who has graciously offered to  
12 provide remarks and to open today's workshop. Commissioner  
13 Ohlhausen was sworn in as a Commissioner on April 4th, 2012.  
14 Prior to joining the FTC, the Commissioner was a partner at  
15 Wilkinson Barker Knauer, where she focused on FTC issues,  
16 including privacy, data protection, and cyber security.

17 She previously served at the Federal Trade  
18 Commission for 11 years, most recently as Director of the  
19 Office of Policy Planning from 2004 to 2008, where she led  
20 the FTC's Internet Access Task Force. She was also a Deputy  
21 Director of that office.

22 From 1998 to 2001, Commissioner Ohlhausen was an  
23 attorney advisor for former FTC Commissioner Orson Swindle,  
24 and she began her career in 1997 in the General Counsel's  
25 Office.

1           She has also served on the adjunct faculty at  
2           George Mason University School of Law, where she taught  
3           privacy law and unfair trade practices. Prior to working at  
4           the FTC, Commissioner Ohlhausen spent five years at the U.S.  
5           Court of Appeals for the D.C. Circuit, where she served as a  
6           law clerk for David B. Sentelle and also as a staff attorney.

7           She also clerked for Judge Robert Yock of the  
8           United States Court of Federal Claims from 1991 to 1992. She  
9           graduated with honors from the University of Virginia and  
10          from George Mason University School of Law.

11          Without further ado, I am glad to welcome and  
12          introduce Commissioner Maureen Ohlhausen.

13          (Applause.)

14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

## 1 OPENING REMARKS

2 COMMISSIONER OHLHAUSEN: Well, good morning,  
3 everyone. I want to welcome you all to the FTC and thank you  
4 for being here with us today. Our workshop has a single  
5 focus: the advertising of over-the-counter homeopathic  
6 products. In convening this workshop, the FTC, as always, is  
7 furthering the goal of making sure that consumers have  
8 accurate and reliable information about the products they  
9 buy.

10 So, if any of you are looking for a discussion of  
11 the potential regulation of those who use or practice  
12 homeopathic medicine, you've come to the wrong place. We are  
13 just looking at issues related to advertising.

14 Because of the recent growth in the marketing and  
15 use of homeopathic products, consumers have greater exposure  
16 to such products than ever before. But do consumers know  
17 what they are buying when they purchase a homeopathic  
18 product? Today's workshop will examine the potential  
19 challenges that advertising for OTC homeopathic products pose  
20 for American consumers and possible solutions to addressing  
21 those challenges.

22 You will hear from stakeholders, including medical  
23 professionals, industry representatives, consumer advocates,  
24 and government regulators. They will discuss a variety of  
25 topics, including the evolution and growth of the homeopathic

1 industry, the scientific support for homeopathic advertising  
2 claims, and, finally, the legal and regulatory issues  
3 presented by the advertising of homeopathic OTC products.

4 The agency's interest in OTC homeopathic product  
5 advertising stems from our longstanding oversight of the  
6 marketing of health-related products and services. And  
7 although we began planning this workshop independently of the  
8 FDA's recent initiative to reevaluate its regulatory  
9 structure for homeopathic products, we are mindful of the  
10 FDA's role in this area.

11 As I'll discuss later, the FDA's current regulatory  
12 structure impacted our examination of homeopathic OTC product  
13 advertising, and the FTC recently provided comments to FDA,  
14 giving some thoughts on how we can work together better in  
15 this area.

16 FTC staff has used focus groups and copy tests to  
17 research what consumers understand about homeopathy and  
18 homeopathic products. As discussed in the comments FTC staff  
19 filed with the FDA, the focus group results suggested that  
20 many consumers choose homeopathic products based on incorrect  
21 and incomplete information. When given additional  
22 information, however, they looked more critically at  
23 homeopathic treatments and had a better basis on which to  
24 evaluate them in comparison to other remedies.

25 The copy test results revealed that many consumers



1 mistakenly believe that the FDA had approved homeopathic  
2 products for efficacy. They also indicated that consumers  
3 erroneously believe that the manufacturers of homeopathic  
4 products tested their products on humans for efficacy.

5           In addition to its research, FTC staff has observed  
6 other potential causes of consumer confusion. In our FDA  
7 comments, staff noted that it's its belief that consumers may  
8 be confused by retail store shelf placement of homeopathic  
9 products side by side with conventional medicine that, in  
10 fact, has been approved by the FDA for efficacy.

11           Staff also reports that confusion is likely created  
12 by the terminology used in homeopathic products -- product  
13 labeling regarding dilution, which results in a very small,  
14 nearly undetectable trace of the active ingredient in the  
15 water or alcohol substance that's provided to a consumer.  
16 Staff believes that it's highly unlikely an average consumer  
17 has an accurate understanding of what homeopathic labeling  
18 means in this regard.

19           Thus, the FTC is interested in ensuring that the  
20 advertising for OTC homeopathic products contains accurate  
21 and reliable information. In the past, pursuing this goal  
22 has been complicated by the potential conflict with the FDA's  
23 approach to regulating OTC homeopathic products. But for  
24 over 40 years, the FTC and the FDA have worked together  
25 collaboratively to regulate the marketing of OTC products.

1 With regard to OTC drug products, pursuant to a 1971  
2 memorandum of understanding between the two agencies, the FDA  
3 focuses on product labeling, while the FTC focuses on product  
4 advertising.

5 With the exception of OTC homeopathic drugs, the  
6 regulatory approach of the two agencies has been remarkably  
7 consistent. The FTC's authority over disease and other  
8 health-related claims for all products is clear,  
9 straightforward, and not in dispute. It comes from Sections  
10 5 and 12 of the FTC Act. Section 5, which applies to both  
11 advertising and labeling, prohibits unfair or deceptive acts  
12 or practices in or affecting commerce. It covers the  
13 deceptive advertising of labeling of over-the-counter drugs.

14 Section 12 prohibits the dissemination of false  
15 advertisements of foods, drugs, devices, services, or  
16 cosmetics. Under these provisions, companies must have a  
17 reasonable basis for making objective claims, including  
18 claims that a product can treat specific conditions before  
19 those claims are made.

20 The FTC devotes significant resources, including  
21 enforcement and educational resources, to protect consumers  
22 from unsubstantiated and misleading health claims in  
23 advertising for OTC products. The FTC's well-established  
24 position on advertising substantiation was first announced in  
25 1972 and has been repeatedly reaffirmed. For health, safety,

1 or efficacy claims, the FTC has generally required that  
2 advertisers possess competent and reliable scientific  
3 evidence, defined as tests, analyses, research, or studies  
4 that have been conducted and evaluated in an objective manner  
5 by qualified persons and are generally accepted in the  
6 profession to yield accurate and reliable results.

7           Competent and reliably scientific evidence may take  
8 different forms, depending on the types of claims made. For  
9 some claims, the substantiation required may be one or more  
10 well-designed human clinical studies. Neither the FTC nor  
11 any -- excuse me, neither the FTC Act, nor any FTC rule or  
12 policy statement, exempts advertising claims for homeopathic  
13 drugs from these standards.

14           Turning to the FDA's authority, all articles that  
15 meet the definition of a drug under the Food, Drug and  
16 Cosmetic Act, including homeopathic drugs, are subject to  
17 regulation under the FD&C Act. Specifically, the FD&C Act  
18 requires that drugs cannot be distributed in commerce until  
19 they are recognized by qualified experts to be safe and  
20 effective. Homeopathic drugs have never been regulated under  
21 the FD&C Act like other conventional drugs, however.

22           Prior to 1988, most homeopathic drugs were  
23 prescribed to individuals only after a private consultation  
24 with a homeopathic practitioner. The shift to offering  
25 homeopathic products on an over-the-counter, mass-market

1 basis began around the time that the FDA issued Compliance  
2 Policy Guidance 400.400, entitled "Conditions under which  
3 Homeopathic Drugs may be Marketed," which permitted the  
4 distribution of homeopathic products without FDA approval.

5 Under the CPG, which is still in effect, the FDA  
6 permits a company to sell OTC homeopathic products without  
7 demonstrating their efficacy and, unlike both nonhomeopathic  
8 drugs and dietary supplements, to include claims in their  
9 packaging about treating specific conditions as long as the  
10 conditions are self-limiting and not chronic.

11 The CPG also requires that the labeling of  
12 homeopathic drugs display an indication for use. The FDA  
13 broadly defines "labeling" to include any article that  
14 accompanies a product. This can include websites and, under  
15 certain circumstances, advertising. Likewise, advertising is  
16 broadly interpreted under the FTC Act. Accordingly, the  
17 FDA's requirement that labeling for homeopathic drugs display  
18 an indication for use, even when the product has not been  
19 demonstrated to be efficacious for that indication, creates a  
20 potential conflict with the FTC's requirement that health  
21 claims be substantiated by competent and reliable scientific  
22 evidence.

23 This potential conflict does not exist with respect  
24 to dietary supplements or nonhomeopathic drugs because both  
25 FTC and FDA law require that advertisers have substantiation

1 to support efficacy claims for those products. As the FTC  
2 noted in the comments filed with the FDA, this potential  
3 conflict could be eliminated in one of three ways. First,  
4 the FDA could withdraw the CPG, thereby subjecting  
5 homeopathic drugs to the same regulatory requirements as  
6 other drug products.

7 Second, the FDA could eliminate the requirement in  
8 the CPG that an indication appear on the labeling. Companies  
9 could still include an indication in the label and would  
10 likely do so, but it would not be a specific requirement of  
11 the FDA's discretionary non-enforcement policy.

12 Finally, given that the CPG is a discretionary  
13 enforcement policy, a third way to eliminate the potential  
14 conflict discussed above would be for the FDA to require that  
15 any indication appearing on the labeling be supported by  
16 competent and reliable scientific evidence.

17 In conclusion, the FTC has been presented with a  
18 difficult problem. Although it is desirable that federal  
19 agencies with overlapping jurisdiction take a consistent  
20 regulatory approach, ultimately, the FTC must carry out its  
21 mission to ensure that advertising for OTC drug products,  
22 including homeopathic products, is truthful and not  
23 misleading. However, we are fully cognizant that there are  
24 many important unanswered questions in this area. As a  
25 result, we've convened this workshop on the advertising of

1 OTC homeopathic products. And the FTC looks forward to the  
2 thoughtful remarks and input from today's discussion.

3 Such input will help the FTC in formulating a path  
4 forward to ensure that consumers get truthful, non-misleading  
5 information on these products. So, we certainly look forward  
6 to hearing today's panelists and receiving comments, which  
7 may be submitted until November 20th.

8 Thank you so much.

9 (Applause.)

10 MR. FORTSCH: Thank you so much to Commissioner  
11 Ohlhausen for her thoughtful remarks, and I now want to  
12 welcome the first panel to come up to the stage. I think the  
13 only way to get up here is these stairs. The panel will be  
14 moderated by Mary Engle, who is now on stage, the Director of  
15 the Division of Advertising Practices here at the FTC.

16

17

18

19

20

21

22

23

24

25

1 PANEL 1: HOMEOPATHIC INDUSTRY & ADVERTISING

2 MS. ENGLE: Good morning, everybody, and welcome  
3 again to our discussion of homeopathic medicine and  
4 advertising. So, the first panel -- and I'll just repeat --  
5 I'm Mary Engle, and I'm the Associate Director for  
6 Advertising Practices here at the FTC.

7 The first panel is going to discuss kind of the --  
8 give you an overview of the landscape of the homeopathic  
9 medicine market, which has evolved quite a bit over -- I was  
10 going to say this century, but really starting last century,  
11 into this century.

12 And we have a great group of speakers here for you  
13 this morning. I won't read all of their bios because they're  
14 in the papers, but we have Jay Borneman, who is the Chairman  
15 and CEO of Standard Homeopathic Company and Hyland's. And he  
16 serves on the board of the Homeopathic Pharmacopoeia of the  
17 United States. Candace Corlett, who is President of WSL  
18 Strategic Retail. Mark Land, who is President of the  
19 American Association of Homeopathic Pharmacists. Yale  
20 Martin, who is an independent retail consultant. And Duffy  
21 MacKay, who is Senior Vice President, Scientific & Regulatory  
22 Affairs, Council for Responsible Nutrition.

23 We're going to have some brief opening statements  
24 before we get on to some questions and discussion, and we'll  
25 just start with Jay, actually -- or was Mark going to start?

1 DR. BORNEMAN: Mark. Yeah, I think it would be  
2 best.

3 MS. ENGLE: Okay.

4 MR. LAND: Thank you very much, Mary. Good  
5 morning, and I would like to thank the FTC and the organizers  
6 of this workshop for the opportunity to present comments  
7 today. My comments will address the market reality for  
8 homeopathic medicines in the United States and clarify some  
9 facts about the scope of the industry, its sales, and its  
10 advertising.

11 I'll start with the work of our association. The  
12 American Association of Homeopathic Pharmacists, or AAHP, is  
13 the leading trade association for homeopathic medicines in  
14 the United States. It was founded in 1923 and represents  
15 more than 90 percent of homeopathic product sales in the  
16 United States. All AAHP members must adhere to association  
17 guidelines, as well as pertinent regulations.

18 Perhaps of particular interest here, the AAHP has  
19 an advertising guideline which requires advertisers of  
20 homeopathic medicines to include a disclaimer statement  
21 alerting consumers that claims made by homeopathic medicines  
22 have not been reviewed by the U.S. Food & Drug  
23 Administration.

24 The homeopathic industry is a small industry  
25 compared to the OTC prescription drug and dietary supplements



1 industries in terms of revenues, advertising, and marketed  
2 products. However, it has a long history, and its medicines  
3 have been trusted in American homes for generations.

4 In large part, the story of homeopathy in the  
5 United States is that of families using medicines formulated  
6 by homeopathic companies -- excuse me -- to treat simple  
7 conditions in the home. Not surprisingly, publicity is  
8 overwhelmingly by word of mouth and based on consumer  
9 satisfaction.

10 Many or most popular homeopathic medicines have  
11 been in the marketplace in the United States for 50 years or  
12 more. Contrary to some published reports, the market for  
13 homeopathic product sales in the United States today is about  
14 \$1.1 billion annually. The market is growing at roughly 5  
15 percent per year, mimicking OTC drug products in general.

16 The majority of homeopathic medicines are indicated  
17 for cough, cold, and flu, muscle pain, and children's  
18 ailments and represent less than 3.5 percent of OTC products  
19 offered in popular drug chains. The Homeopathic  
20 Pharmacopoeia of the United States requires that labels of  
21 homeopathic medicines prominently include the disclosure  
22 "homeopathic" or "homeopathic medicine."

23 Turning to advertising, it's safe to say that  
24 advertising is not a major contributor to the modest growth  
25 in the homeopathic market. I mentioned that word of mouth

1 has traditionally been the primary driver, and that remains  
2 true today. In fact, studies show word-of-mouth  
3 recommendations from satisfied consumers and healthcare  
4 practitioners consistently rank high for influencing trials  
5 of homeopathic medicines.

6           Conversely, advertising consistently ranks low as  
7 an influencing factor. Most advertising is restricted to  
8 print in health-related publications or targeted freestanding  
9 inserts in newspapers. Broadcast advertising is limited to  
10 very few products and brands, and digital media has only very  
11 recently started to play a role.

12           As a result, advertising spends are stable or even  
13 slightly declining. For example, review of the OTC topical  
14 pain relief category, one of the largest for homeopathy,  
15 shows a modest decline since 2010. In 2010, the advertising  
16 spend was 4 percent for the category that was homeopathic  
17 medicines, and the spend was only 2.5 percent in 2014.

18           Let's talk about safety for just a few moments,  
19 since it's the hallmark feature of homeopathic medicines.  
20 The American Association of Poison Control Centers has  
21 reported that less than 1 percent of all reports of exposures  
22 for pharmaceutical products involve a homeopathic medicine  
23 and that more than 98 percent of these exposure reports  
24 result in no or minor effect.

25           As the leading industry association for homeopathic

1 medicines, I'd like to leave you with a few final thoughts.  
2 These medicines have been part of the American -- of American  
3 healthcare for generations. It's a small industry compared  
4 to other healthcare segments, but it's popularity is largely  
5 due to word of mouth, due to satisfied consumers telling  
6 other consumers rather than mass advertising efforts.

7 Homeopathic medicines are marked by impressive  
8 safety overall, follow GMPs and labeling regulations from  
9 FDA, and are supported by literature-based medical evidence,  
10 which is the worldwide standard for substantiation of  
11 homeopathic claims.

12 To ensure consumers and advertisers are not  
13 confused, the industry has taken a proactive path by creating  
14 guidelines for label disclosures and disclaimers. And with  
15 that, I'd like to conclude by saying that the AAHP welcomes  
16 this opportunity to partner with FTC and the FDA, and I thank  
17 you for the opportunity to provide these comments today.

18 MS. ENGLE: Thank you, Mark.

19 Now Jay?

20 DR. BORNEMAN: Thank you, Mary. I noticed you  
21 looked at me when you said the 18th Century. I hope it's  
22 because I'm going to deal with history and not because of how  
23 old I am.

24 So, thank you for the opportunity to speak this  
25 morning. I'll talk a little bit about the evolution of

1 homeopathic pharmacy in the United States. It has mirrored  
2 the market generally, and it's been driven by consumer  
3 choice. Homeopathy, as a field of medicine, was first  
4 introduced in the United States in 1826. Homeopathic  
5 pharmacy began shortly thereafter in 1843. Within a few  
6 decades, many of the major homeopathic firms still in  
7 existence today began preparing homeopathic medicines,  
8 including Boericke & Tafel, today a brand from -- owned by  
9 Schwabe North America; Luyties Pharmacal, a standard  
10 homeopathic company; and my great-grandfather's firm,  
11 Borneman & Sons, which is now known as Boiron USA.

12 As one can see, the roots of homeopathic pharmacy  
13 run very long and very deep in the United States, as well as  
14 in my family. Let's talk a little bit about how the market  
15 developed. Throughout the 19th and 20th Century, the  
16 homeopathic pharmacy market was physician-driven as  
17 physicians trained in homeopathic medical schools and opened  
18 homeopathic hospitals.

19 With the publication of the Flexner Report in 1910,  
20 the medical schools were surpassed by their allopathic  
21 counterparts, and by the mid-20th Century, the last school,  
22 Hahnemann in Philadelphia, had ceased teaching homeopathy  
23 altogether. By the way, the last professor was my great-  
24 grandfather.

25 Physicians would not be taught homeopathy again

1 until the 1980s. Accordingly, the number of medical doctors  
2 utilizing homeopathy slowly declined from the early 20th  
3 Century peak until its low point in 1970, followed by a  
4 resurgence in the years that followed.

5 Consumer homeopathic medicines date from the 1850s,  
6 with Humphreys Pharmacal combinations and self-care kits from  
7 Luyties Pharmacal. By 1970, there was a burgeoning consumer  
8 movement that resulted in homeopathic products beginning to  
9 be sold in health food stores and independent drugstores.  
10 With few exceptions, retail sales of homeopathic medicines  
11 were the province of these small retailers.

12 In the mid-1990s, some drug chain pioneers, notably  
13 the Jack Eckerd Company, began experimenting with adding  
14 homeopathic drugs to their mix. And by the end of the 1990s,  
15 most major drug chains in the United States carried a handful  
16 of homeopathic drugs and had an appetite for more.

17 Shortly thereafter, the number of market entrants  
18 grew, as did the number of channels, expanding to grocery and  
19 mass merchandiser channels. And during this period,  
20 retailers undertook a series of merchandising experiments,  
21 trying a variety of approaches: natural product sets; some  
22 tried homeopathic sets; others merchandised by brand; and  
23 some merchandised by disease state or symptom. Different  
24 retailers made different determinations, and all of these  
25 approaches are still in use today.

1           Let's talk about pharmacopoeias. Of crucial  
2           importance to homeopathy, as well as conventional medicine,  
3           are the pharmacopoeias, so I'll talk about them for just a  
4           second. Pharmacopoeias are official publications that  
5           document the scientific substantiation, technical and quality  
6           standards for drug products. The first homeopathic  
7           pharmacopoeia was published in 1842 in the United States.  
8           The Homeopathic Pharmacopoeia of the United States, or the  
9           HPUS, which remains in publication today, was first published  
10          in 1897 by the American Institute of Homeopathy, the  
11          physicians organization.

12                 In 1980, the Homeopathic Pharmacopoeia Convention  
13          of the United States, the HPCUS, was independently  
14          incorporated separate from the AIH. The HPUS was completely  
15          revised between 1980 and 2004 and now is an online  
16          publication containing 1,295 final drug monographs, along  
17          with guidelines for homeopathic manufacturing, standards and  
18          controls data, toxicology and safety data, and labeling  
19          guidelines. Its last update was this year, 2015.

20                 The Commission has expressed concerns with  
21          homeopathic advertising in two particular domains: consumer  
22          confusion and claimed substantiation. Speaking for myself  
23          and for my firm, I believe that these concerns can be  
24          addressed in a straightforward approach. First, require that  
25          homeopathic drug products be clearly labeled and advertised

1 as homeopathic. Labeling is required by the HPCUS already.

2 Two, require that a notation that the product has  
3 not been reviewed by the FDA be clearly stated on labeling  
4 and advertising. This is -- the industry association already  
5 had guidelines in effect.

6 And, third, require that all OTC homeopathic drug  
7 ingredients be subject to a final monograph in the HPUS.  
8 This will ensure that the drug has been reviewed for quality  
9 and safety and that sufficient data concerning the drug  
10 appears in the homeopathic literature. These three  
11 requirements will significantly address the Commission's  
12 concerns and are in line with the industry's strong desire to  
13 be known and recognized as homeopathic among consumers.

14 Thank you.

15 MS. ENGLE: Thank you, Jay.

16 Candace?

17 MS. CORLETT: Thank you, everyone, for inviting me  
18 to contribute to the panel here today. My name is Candace  
19 Corlett. I'm President at WSL Strategic Retail. And the  
20 purpose of our business is to monitor changes in shopper  
21 thinking and behavior: how shoppers learn about products,  
22 how they decide where to buy them, how they decide what they  
23 will buy.

24 We monitor trends through ongoing surveys that are  
25 conducted online among national samples of men and women that

1 have at least a thousand participants in each survey. And  
2 all of our participants are shoppers in mass channels like  
3 supermarkets, drugstores, department stores, the mass  
4 merchants.

5 In the last two years, we have been doing a lot of  
6 work around the shoppers' interest in the wellness movement  
7 and in how they manage their short-term health conditions.  
8 It will come as no surprise to you that healthcare in the  
9 U.S. is in transition, and a lot of that transition is driven  
10 by the technology of the internet. Instant access to  
11 information, to ratings, to peer evaluations are building  
12 shoppers' confidence in their ability to learn about how to  
13 take better care of themselves, how to zero in on getting  
14 information about how to treat their conditions, whether it's  
15 common cold, arthritis, allergies, pain, even ear wax.

16 It was during these studies that we have studied  
17 how shoppers use and buy over-the-counter medications,  
18 homeopathic medications, and we've monitored their  
19 satisfaction and repurchase intent with these product  
20 categories for their healthcare.

21 Sharing information has created a widening circle  
22 of trust among shoppers for their healthcare. People consult  
23 and respect a wider variety of medical professionals, and  
24 they now have a broader portfolio of medications, including  
25 homeopathic medications, to treat their short-term illnesses.



1           This trend to greater confidence and self-education  
2     and care is particularly strong among people who are more  
3     tech-savvy and are younger people in general, the ones who  
4     are in the life stage where they're less likely to have --  
5     visit a doctor regularly or to have conditions that require  
6     prescription medication.

7           Here's what we've learned about people who buy  
8     homeopathic medicines. First of all, most people who  
9     purchase homeopathic medications do their homework. They are  
10    avid about checking recommendations, and the number one way  
11    they learn about homeopathic medication is through word of  
12    mouth, recommendations from their friends, their family,  
13    their physician. Thirty-seven percent of shoppers have  
14    learned about their homeopathic medication through some form  
15    of recommendation. Another 18 percent have done their own  
16    online research; and 12 percent have learned about it through  
17    traditional advertising in newspapers, ads, commercials.

18           The second point is that satisfaction is very high.  
19    We asked people who use homeopathic medications for different  
20    conditions how satisfied they are with the performance of  
21    this treatment, and depending on the condition, the range of  
22    satisfaction is 60 to 73 percent.

23           More interesting even is that half of people who  
24    have chosen to use a homeopathic medication for one condition  
25    have gone on to use it for several other conditions. So,

1 once they're introduced to the concept, then they're buying  
2 similar products for other conditions.

3           And who is the shopper for homeopathic medicine?  
4 All of them are much more involved in knowing about their  
5 healthcare. They are more likely to use health websites, to  
6 subscribe to newsletters about healthcare, to eat healthier  
7 now than they did five years ago. They say they exercise  
8 more and they buy more organic products. Overall, on a  
9 demographic profile, they are younger; better educated; more  
10 moms are likely to be buying homeopathic medications; and  
11 they're all pretty tech-savvy.

12           Thank you.

13           MS. ENGLE: Thanks, Candace.

14           Now, we'll go to Yale.

15           MR. MARTIN: Sure, thank you.

16           My name is Yale Martin. I spent 25 years in  
17 retail. During the last ten of these years, until November  
18 of 2014, I specialized in over-the-counter products,  
19 basically everything nonprescription related to a pharmacy,  
20 so cough, cold, allergy, antacids, laxatives, vitamins, et  
21 cetera.

22           In my final retail position, I managed the buying  
23 office for Walmart's OTC business. The buying team I led was  
24 responsible for merchandising more than 4,000 items in  
25 Walmart's 5,000 U.S. stores and included both allopathic and

1 homeopathic products.

2 My discussion today will center on the consumer,  
3 retailer, and manufacturer market dynamics from the  
4 perspective solely of a retailer. Consumers today, more than  
5 ever, seek to meet everyday noncritical healthcare needs at  
6 their local pharmacy. They're leveraging recommendations  
7 from friends and relatives, like "this worked for me," as  
8 well as utilize the internet for information.

9 They will also often compare various product labels  
10 while standing at the retail shelf. While advertising plays  
11 a huge role in the OTC arena with allopathic drugs, it is not  
12 significant in the homeopathic area, simply because the  
13 manufacturers spend very little on advertising. While  
14 consumers address their more chronic health issues with their  
15 family practitioner, they have come to rely on the  
16 convenience of over-the-counter products to address  
17 noncritical health issues. They appreciate -- and some would  
18 say demand -- multiple options from their local retailer.

19 The market dynamics of consumer products in a  
20 retail environment closely follow Darwin's survival of the  
21 fittest. Items must sell or turn or the products are quickly  
22 replaced. Shelf space is exceptionally valuable to every  
23 retailer, and each item must pay its rent or it faces  
24 elimination.

25 Retailers regularly update their product offering,

1 and items which fall in the lower quartile of their peer  
2 items are those first considered for deletion. Every  
3 retailer has a minimum expected level of unit or dollar sales  
4 per store per week or month, also known as a threshold. In  
5 many ways, the consumer herself chooses a product offering in  
6 today's retail stores.

7           Every sale is a vote for an item to remain on the  
8 shelf. Items which do not sell enough or get enough votes at  
9 the register are eliminated. This market dynamic has a  
10 positive influence for public in that items that fail to meet  
11 consumer expectations are not repurchased nor benefit from  
12 friend or relative recommendations. In other words, items  
13 that don't work typically do not last long on the shelves of  
14 American's retailers.

15           These marketplace rules apply to all products.  
16 There is no exception for homeopathic products, and it  
17 reinforces a positive, consumer satisfaction with these  
18 products. In spite of very aggressive marketing campaigns  
19 supporting allopathic products and little supporting  
20 homeopathic products, the homeopathic items have managed to  
21 maintain their place on the shelves of America's retailers.

22           The market dynamics that apply to items also apply  
23 to manufacturers. Manufacturers that have a history of  
24 supplying retailers with items that meet their expectations  
25 and are invited back to submit additional items for

1 incremental shelf space. Manufacturers that have a history  
2 of supplying retailers with items that have performed poorly  
3 find it difficult to get subsequent appointments with that  
4 retailer's buying staff.

5 Poorly performing items are costly for retailers.  
6 They take up shelf space that could be allocated to better  
7 selling merchandise. They usurp inventory open-to-buy  
8 dollars. And they require costly markdowns to eliminate that  
9 inventory.

10 Every retailer in America tracks the sale movement  
11 of their merchandise offering, often on a daily basis. The  
12 laws that apply to survival of the fittest with products and  
13 suppliers in the marketplace also apply to retailers, and  
14 those retailers who do not provide what the consumer is  
15 looking for seldom last long. But in the end, it's the  
16 consumer who benefits, whether it's a product, a  
17 manufacturer, or retailer, these dynamics police the  
18 marketplace, rewarding those who meet consumer expectations  
19 and punishing those who don't.

20 Thank you.

21 MS. ENGLE: Thanks, Yale. And now we'll hear from  
22 Duffy.

23 DR. MACKAY: Hello, everybody, and thank you for  
24 coming. And thank you, Mary, for having this event. I'm  
25 Duffy MacKay, and I represent the dietary supplement

1 industry. I'm part of a trade association that represents  
2 both dietary supplements and functional foods and their  
3 ingredients. And I'm going to talk a little bit about the  
4 similarities and differences between dietary supplements and  
5 homeopathic products.

6 Supplements were defined by statute in 1994, and  
7 our ingredients include vitamins, minerals, botanicals,  
8 herbals, amino acids, and also dietary substances that are  
9 used to supplement the diet. That's where things like CoQ10,  
10 carnitine and other things come in.

11 So, why am I here? Well, there's a lot of  
12 similarities. We have a similar type of consumer, and that's  
13 just my opinion, that's attracted to homeopathic products as  
14 well as dietary supplements. We have similar types of  
15 practitioners, integrative practitioners. I'm also a  
16 naturopathic doctor. I was trained in homeopathy, and I use  
17 dietary supplements. These are the types of tools that we  
18 might use in our practice.

19 We also have similarities in our ingredients. We  
20 use herbs and botanicals. So, I might have chamomile as a  
21 dietary supplement, but I also might have chamomile as a  
22 homeopathic remedy. So, you can see, again, more  
23 similarities. I might even use the same supplier of  
24 chamomile if I'm a homeopathic manufacturer versus a dietary  
25 supplement manufacturer.

1           However, there's a few key differences between the  
2 two categories, and I think one of the main differences is  
3 regulatorily. Dietary supplements are regulated as a  
4 category of food. So, therefore, because we're regulated as  
5 food, we cannot claim to treat, prevent, cure, or mitigate  
6 disease. Our claims can only be limited to supporting normal  
7 structure and function of the body.

8           Homeopathic products are regulated as drugs, and,  
9 therefore, as discussed, they make claims to treat, prevent,  
10 or treat and prevent, you know, sniffles and things like  
11 that, aches and pains, self-limiting diseases.

12           Again, the difference in claims is while we can  
13 only claim to support normal structure and function, we are  
14 required to have credible scientific evidence to support that  
15 claim. So, the Federal Trade Commission has a guidance  
16 document. They have a standard of science. It's a flexible  
17 standard. We don't always agree on that standard.

18           We often end up in court talking about that  
19 standard; however, we are required to have credible  
20 scientific evidence in the form that's the same kind that you  
21 use to get approval for a drug. You've got population-based  
22 evidence; you've got mechanistic evidence; and you have  
23 clinical trials. And I think homeopathic evidence is  
24 entirely different, and we'll learn more about the scientific  
25 substantiation later today.

1           You may ask why am I here. I'm here because about  
2 -- in 2010, we had actually wrote a letter to the Federal  
3 Trade Commission because we were noticing a pattern where  
4 companies were obviously attracted to making the kinds of  
5 claims that you can make for homeopathic products, as well as  
6 the low threshold for making those claims.

7           So, we started noticing products in the marketplace  
8 that actually were probably dietary supplements, and they  
9 were labeling themselves as homeopathic products, and in my  
10 opinion, without empirical evidence, it wasn't able to say  
11 for colds and flus. Everyone wants to be for colds and flus.  
12 No one wants to be for normal structure and function of the  
13 respiratory system. It doesn't make a lot of sense, right?

14           So, then, we had also products that were blending  
15 homeopathic ingredients and dietary supplement ingredients,  
16 again I think in an effort to make claims. None of this is  
17 legal if you follow the regulatory compliance documents, and  
18 you're in compliance, and you actually are a homeopathic or  
19 you are a dietary supplement, but it's happening.

20           And, then, finally, we also saw a more disturbing  
21 trend of ingredients that are not allowed to be dietary  
22 supplements, things like human growth hormone and other  
23 ingredients, being called homeopathic products. Why would we  
24 care about that? Because when there's complaints about those  
25 products people point to who? The dietary supplement



1 industry. And we take the heat for that kind of thing in the  
2 media, as well as in the court of public opinion.

3 And, so, our effort is to draw a bright line and  
4 say we are dietary supplements; we have a regulatory system;  
5 we have a substantiation doctrine, and that's what we follow,  
6 and homeopathics are different. And that's about it. That's  
7 all I'm here for.

8 MS. ENGLE: Great. Thank you, Duffy.

9 So, I was wondering if maybe Jay or Mark could  
10 expand a little bit upon what happened in the regulatory  
11 environment about, say, 25 or so years ago that really  
12 changed the market for homeopathic medicine. I mean, we  
13 heard a little bit from Commissioner Ohlhausen at the  
14 beginning. It started out that -- and for decades, maybe  
15 more than decades, maybe more than a century -- these  
16 medicines were largely done on a prescription basis. A  
17 patient would go to see their homeopathic practitioner and  
18 presenting with certain symptoms, and then something would be  
19 recommended for them.

20 And then in 1988, the FDA issued the CPG that  
21 allowed the over-the-counter sale of these products. And how  
22 did the market react to that?

23 DR. BORNEMAN: Do you want me to take that? Do you  
24 want me to start with that, or do you want to take it?

25 MR. LAND: I'll start, and then you can fill in. I

1 think that the intro that Commissioner Ohlhausen gave us was  
2 very accurate or mostly accurate, just a little bit of  
3 precision. First of all, I think it's really important to  
4 note that self-medication and self-medication products have  
5 always been part of homeopathy. Jay had mentioned in his  
6 talk that some firms date back to the mid 19th Century with  
7 self-medication products. And as I said, to a large extent,  
8 homeopathic medicine was really families using these  
9 medicines at home.

10 There was a resurgence in interest in homeopathy  
11 beginning in the 1970s, along with many other changes in  
12 lifestyle. And that came to the attention of FDA in the  
13 early 1980s. There was two things that happened. The first  
14 was that there was this growth in interest in homeopathic  
15 medicines; and there was also an influx of manufacturers from  
16 different parts of the world entering the market in the  
17 United States.

18 And, so, at that time, FDA was facing products  
19 being offered for importation that needed to be evaluated, so  
20 the market became more complex from that standpoint, and FDA  
21 found it necessary to define some controls for the market.  
22 That was developed over a long period of discussion between  
23 the FDA and the industry, and the document has been  
24 remarkably successful since that time.

25 It was promulgated in 1988; became effective in

1 1990; and what it did was it really defined the rules for the  
2 industry. And when rules become clear, business tends to  
3 grow. And as part of that growth, the business was expanded  
4 to new channels of distribution, having gone from primarily a  
5 distribution channel of the natural products industry into  
6 retail pharmacy, specialized pharmacies, pharmacies  
7 specializing in homeopathic medicine, and then eventually  
8 into national retailers.

9           So, I think that the effect of the CPG in 1988 and  
10 then later in 1990 was to give the clear rules by which  
11 business could expand distribution of these products, and  
12 it's worked very well since that time.

13           Jay, maybe you want to add.

14           DR. BORNEMAN: Yeah, just to add a couple points.  
15 First, I think it's really important to delink the compliance  
16 policy guide with the development of channels in the United  
17 States, channels being defined as retail channels, whether  
18 they be natural food stores, independent pharmacies, and so  
19 forth.

20           The Compliance Policy Guide is a relatively durable  
21 document if you think that it's 25 years old, and you think  
22 about what the world was like back in 1983 when it was  
23 originally conceived. I actually was there for that. I was  
24 the kid in the back of the room with the duct on my mouth  
25 when my father said don't say anything.

1           So, the world has changed a lot, and yet the  
2 Compliance Policy Guide, plus or minus a few tweaks that we  
3 probably could talk about, is a relatively durable, durable  
4 framework. The Compliance Policy Guide may have created the  
5 conditions under which the homeopathic pharmacies could have  
6 built their business -- there's no doubt about that, because  
7 regulatory frameworks are necessarily conducive to growth.  
8 But the channel development really developed for a different  
9 reason.

10           What happened, at least in my opinion, is that the  
11 core user of consumer homeopathic medicine that was in the  
12 natural food store and independent pharmacy began to ask for  
13 those products in other channels. And as the retailers, and  
14 the great example is Thrifty Drug in Los Angeles that had  
15 carried Hyland's Teething Tablets back from the 1950s, didn't  
16 even know it was a homeopathic product. They just knew  
17 people wanted it.

18           Over time, as people clamored and went back to the  
19 smaller drug chains and asked for it, they began to evaluate  
20 what are these products and should we sell them. So, it was  
21 really the channel shift was the consumer going to different  
22 channels and asking for the product. As that began to  
23 coalesce, then the channel shift develops. And, so -- and  
24 now we see a channel shift into Amazon. I mean, it's the  
25 same sort of thing.

1           The homeopathic medicine, the development of the  
2 market, is not distinct from the development of a market  
3 generally. It follows exactly the same patterns. And, so --  
4 and I think that Mr. Martin makes a really good point, which  
5 is that there's no way the tiny little homeopathic pharmacies  
6 can force inventory into large drug chains or large  
7 retailers. Those decisions are made by the retailers for  
8 their consumer, who they have their connection with.

9           So, I don't think -- I do think we need to delink  
10 that 1988 Compliance Policy Guide with the market.

11           MS. ENGLE: Okay, great. So, even though the  
12 homeopathic medicine is following the general trends, though,  
13 I think, Mark, you said it's still very much smaller than the  
14 dietary supplement market. Is that right?

15           MR. LAND: Yes. As I mentioned, the homeopathic  
16 market -- and this is at retail prices -- is estimated by  
17 commercial reporting firms at \$1.1 and \$1.3 billion annually.  
18 And, you know, that's grown from about somewhere around \$900  
19 million about five years ago. So, growth has been about 5  
20 percent, which is about the same growth rate as the OTC  
21 market in general. But just to put that in perspective, the  
22 OTC drug market is estimated at about \$40 billion annually,  
23 and roughly the same for the dietary supplement market, as  
24 well.

25           So, we are a very small fraction of those markets.

1 We'd love to be that size, but we're just not that -- not  
2 there yet.

3 MS. ENGLE: Okay. So, when did the OTC homeopathic  
4 products first begin appearing in the national retailers?  
5 You mentioned they started out in kind of the smaller  
6 drugstores, and then people were demanding them more, and  
7 they started to shift. So, when did we start seeing them in  
8 the large mass-market retail chains?

9 DR. BORNEMAN: Okay, so, you have to remember what  
10 the world looks like with drug chains. We now have five --  
11 probably four or five dominant players in the United States.  
12 And in the 1990s, there were five times that many. And, so,  
13 what happened was that the regionals where they were -- they  
14 had a market area that was conducive to homeopathic medicine  
15 in the Pacific Northwest. California and so forth started  
16 asking for products.

17 As those drug chains were subsumed and  
18 consolidated, it forced those medicines into other parts of  
19 the country. If you think about there's one major chain now  
20 that's made up of six chains through consolidation, and so  
21 that was one aspect of it.

22 So, it started -- I would estimate it started mid  
23 '90s. By the late 1990s, there were at least a handful in  
24 most of the regionals and small nationals. And within five  
25 years after that it had expanded out. And I guess at the --

1 about 2000, '99 to 2001, in that bracket, it finally went  
2 into mass merchandisers, big box stores.

3 MS. ENGLE: Okay. And I think now we have a short  
4 video clip that we'd like to play, talking about the  
5 placement of these products in the stores.

6 Are you ready?

7 VIDEO: The most important thing we've learned  
8 about, again, breaking down this barrier of people not being  
9 that involved in homeopathic medicines is the fact that you  
10 have to put them in next to the conventional remedies that  
11 are available. Wherever you find these products, and whether  
12 it's drugstores, natural food stores, supermarkets, consumers  
13 tell, again, us in the focus groups the same thing. They  
14 say, when I have a problem, I need a solution, and I look in  
15 one area for my solutions. So, they are looking for a  
16 natural alternative or complimentary alternative to the  
17 conventional medicine that they're used to taking.

18 MS. ENGLE: So, is that the experience of the folks  
19 on the panel, then, generally? And I would say it's my  
20 anecdotal experience going into this store that the  
21 homeopathic remedies are placed side-by-side with the FDA-  
22 approved OTC drugs, whereas the supplements are in a separate  
23 section, whether it's a drugstore or a supermarket.

24 DR. BORNEMAN: Do you want me to take it?

25 MS. ENGLE: Anybody.

1 DR. BORNEMAN: So, this video, I think -- I saw it  
2 last night. This video is probably between 10 and 15 years  
3 old, and it represents sort of an analysis by one company,  
4 Boericke & Tafel at the time, of a natural experiment that  
5 was going on at the time. Some retailers were what they call  
6 brand blocking, which is putting all of the companies  
7 products together on the shelf in one place. So, all of one  
8 Company A, Company B, Company C.

9 Others were going by disease state or disease  
10 category: cough/cold went in one category, maybe one in  
11 another category. Others were creating what were called  
12 natural sections. So, dietary supplements and homeopathic  
13 medicines were being in part of the store. What this fellow  
14 was talking about was they were doing focus groups and trying  
15 to find out what the result was, trying to follow the  
16 consumer. And what the consumer was saying, what she was  
17 saying is that she wanted to find them in a place where all  
18 the cough/cold was together wherever.

19 That's not really how it all shook out. How it all  
20 shook out is that all of those -- all of those techniques are  
21 currently being used. And some retailers use more than one  
22 technique. So, it is true that we are adjacent to other  
23 cough/cold, you know, products in some retailers, but in  
24 other retailers, it's by company and so forth. So, it sort  
25 of is all over the place.



1           For me, it has to do with the retailer -- and Mr.  
2 Martin will be able to talk about this -- it has to do with  
3 the retailer read of what the customer wants and then the  
4 retailer reacts to that and does what they think is the  
5 appropriate way to go.

6           MS. ENGLE: Anything?

7           MR. MARTIN: I think -- yeah, I think that's  
8 absolutely correct. Realistically, what the retailer is  
9 trying to do is to figure out exactly what the customer  
10 wants. How does the customer want to shop? And typically  
11 they're wanting to shop based upon some sort of symptom or  
12 ailment they have. And they expect to find the homeopathic  
13 items along with the rest of the items, and they want to make  
14 a choice at the shelf.

15           Mr. Borneman mentioned that sometimes they'll be  
16 brand blocked. That's correct as well. I think one thing to  
17 remember is that the retailer's real asset is that shelf  
18 space across the country, and it's exceptionally valuable.  
19 Those of you -- you probably -- a handful of folks in here  
20 understand this, but every square inch on a retailer's shelf  
21 is programmed.

22           There's a fairly sophisticated software program  
23 called ProSpace that is -- basically takes items on a scale  
24 level, so they're measured, and those items are entered into  
25 a computer program, and they're actually set on a virtual

1 shelf. And basically every square inch of that shelf is  
2 merchandise. So, sometimes, in order to leverage that space  
3 or to maximize that space, sometimes you have to put things  
4 where you don't necessarily want to put things.

5 I've done it. I was a buyer for years, and that's  
6 what I did. So, in most cases, you're looking at items that  
7 are in their exact location where the buyer wants to put  
8 them, and again, the buyer is trying to follow what is the  
9 customer expectation. We're all at the mercy of our  
10 customers, so...

11 MS. ENGLE: Yeah, and as people who follow  
12 shoppers, we're frequently advising our retail partners that  
13 shoppers shop by condition, and they would love to have  
14 everything, all their choices for a condition, presented all  
15 in the same place. Regardless of whether or not that's  
16 operationally efficient for the retailer, that's the way the  
17 shopper would like to see it.

18 DR. MACKAY: And on that I guess the one limitation  
19 would be that you wouldn't be able to put your supplements in  
20 a store by condition because then you would be implying  
21 they're for --

22 MS. ENGLE: Right.

23 DR. MACKAY: -- treatment of a disease, and,  
24 therefore, the supplements would be sitting over here in the  
25 just-for-staying-healthy aisle.

1 MS. ENGLE: And, so, Candace, what does your  
2 research show in terms of where most people are buying  
3 homeopathic products?

4 MS. CORLETT: Very much in the classic places where  
5 they shop for their healthcare. Fifty-two percent will buy  
6 homeopathic in a drugstore in the course of a year. Forty-  
7 eight percent will buy it in mass merchant, like a Walmart or  
8 a Target, over the course of the year. About 30 percent in a  
9 supermarket; and then there's a following in specialty food  
10 and specialty vitamin stores where about 17 to 20 percent of  
11 shoppers choose those stores. And then, of course, the  
12 internet, which is -- at the time that we did this study --  
13 was 14 percent.

14 MS. ENGLE: So, the internet is up to 14 percent?

15 MS. CORLETT: Yeah. That was as of about mid-2013.

16 MS. ENGLE: Okay. The consumer research that the  
17 FTC staff conducted Commissioner Ohlhausen referred to at the  
18 beginning suggests that some consumers erroneously believe  
19 that homeopathic products are essentially synonymous with  
20 natural remedies or home remedies. They don't have a very  
21 precise understanding of it at all, really.

22 Do you have any indication that any research that  
23 consumers do understand when they are buying homeopathic  
24 products what they're getting or what the difference might be  
25 to the OTC drug that's next to it on the shelf?

1 MS. CORLETT: You know, we did very much include  
2 that in our surveys, and we didn't ask people to say -- to  
3 play back what they think homeopathic is. We asked them, do  
4 you feel that you clearly understand what the "homeopathic"  
5 means. And in order to put that in context, we included some  
6 other generic terms, like do you feel you understand what  
7 "natural" means, what "organic" means. And the responses for  
8 homeopathic was 38 percent felt that they clearly understood  
9 what "homeopathic" meant. Fifty percent said they clearly  
10 understood what "natural" meant. And 52 percent said they  
11 felt they had a clear understanding of what "organic" meant.

12 So, half empty, half full. Shoppers think they do,  
13 but then also they're not sure that they do. And what our  
14 recommendation to our clients is shoppers don't buy generic  
15 terms; they buy brand names. And then they buy a brand name  
16 and if they are satisfied with the performance, then they  
17 rebuy the brand name. So, we've seen the high satisfaction  
18 rates for homeopathic; so they rebuy the brands. And then  
19 they go on, and when they know that this type of product  
20 works for them in one condition, half of people who have  
21 purchased homeopathic then go on and buy a homeopathic remedy  
22 for another condition.

23 So, they may not have a clear understanding of what  
24 the term means, but they have a clear understanding of the  
25 benefits they're getting from the product.

1 MS. ENGLE: Great. Thank you.

2 The consumer research that the FTC staff conducted  
3 also suggested that consumers incorrectly think that  
4 homeopathic products have been tested for efficacy as OTC  
5 drugs have been. How can a consumer tell the difference  
6 between a homeopathic drug and an allopathic drug? Mark, do  
7 you want to take that?

8 MR. LAND: Yeah, I'll take that. I think that  
9 first of all homeopathic drugs, they're labeled as drugs.  
10 They do bear the mention of either homeopathic or homeopathic  
11 medicine on the label, so it's quite clear that this is a  
12 different type of product.

13 I think that FTC's own research demonstrates that  
14 consumers are able to make a clear distinction between  
15 conventional drugs and nonconventional drug products,  
16 including herbs and diet supplements. And, so, really, the  
17 distinction is between an herb, for example, and a  
18 homeopathic medicine.

19 And the -- you know, we are now living in an era  
20 when we have all been exposed to drug facts labeling and  
21 dietary supplement or food supplement facts labeling now for  
22 a generation. And we need to give the consumer a little bit  
23 of credit. There is a difference between a drug facts label  
24 and a dietary supplement label, be it an herb or otherwise,  
25 and I think consumers are able to understand that.

1           So, there are quite a few signals on a dietary --  
2           or excuse me, on a homeopathic drug label that differentiate  
3           those products from other products in the category.

4           MS. ENGLE: Okay, thanks.

5           Duffy, you mentioned this -- alluded to this in  
6           your opening remarks, but could you expand upon the concerns  
7           that you have about dietary supplements who may decide it may  
8           be easier to present themselves as homeopathic than as a  
9           dietary supplement?

10          DR. MACKAY: So, I was actually just reading a  
11          magazine, and I saw a big, one-page ad for a homeopathic  
12          cold-and-flu product that was based on elderberry -- the herb  
13          elderberry. And I use the herb elderberry a lot, and I think  
14          it's a wonderful herb. And I just wondered, because  
15          everything to me just on first glance, I said, wow, this  
16          company is crazy advertising like this for their supplement.  
17          And then I looked closer and closer, and then I was like, oh,  
18          wait, it's a homeopathic. That's how they're able to do it.

19          And then I started to just ask questions around,  
20          like what's going on here, is everybody going to want to do  
21          this with their herbal products? This is a great  
22          opportunity. And I started hearing, you know, rumblings of  
23          companies saying, yeah, this is great, you know; you can make  
24          these claims if you call yourself a homeopathic. So, the  
25          draw was there.

1           I think if I remember, there may have been a  
2 warning letter or something along those lines, that seems to  
3 have settled down a little bit. But then again, there was a  
4 product where you sprayed it under your tongue, and it was  
5 vitamins and minerals, and you sprayed it in your mouth, and  
6 they made some pretty wild claims, weight loss, this, that,  
7 the other. And we had press calls, New York Times and  
8 others, calling us as a supplement trade association saying  
9 how do you account for this. And as I looked closer, the  
10 product was labeled as a homeopathic, and I was like, why are  
11 we taking the negative reputation sort of outcome of this.

12           And then, thirdly, there was sort of some interest  
13 in human growth hormone and some concerns it was showing up  
14 in supplement products advertised for bodybuilding. And, so,  
15 we deal with that, and we're always trying to work with FDA  
16 and other regulators to try to keep that as a minimal outlier  
17 practice and seeing what we could do to eradicate it, but  
18 then I noticed an alternative was they were labeling it as  
19 homeopathic, homeopathic human growth hormone, spray human  
20 growth -- and obviously there's a very vulnerable consumer  
21 that wants to get built and buff that's going to look at  
22 something like that and go for it. And my concern was is  
23 there human growth hormone in there. Who knows? And, so,  
24 that was just another concern.

25           So, we put all these concerns in a letter wrote in

1 2010 to the Federal Trade Commission, had a meeting, sat down  
2 and discussed, and just sort of became -- and our goal in  
3 that meeting was just to sort of say, hey, guys, this is not  
4 us. Let's be very clear; this is not dietary supplements.  
5 This should not be our reputation and so forth.

6 DR. BORNEMAN: Mary, can I jump in a little bit?

7 MS. ENGLE: Sure.

8 DR. BORNEMAN: As the pharmacopoeia guy here, I  
9 think that Duffy's making really good points. I think that  
10 if you look at our current regulatory framework, 400.400, the  
11 Compliance Policy Guide, the combination of homeopathic and  
12 nonhomeopathic ingredients is prohibited. If you look at the  
13 way the pharmacopoeia approaches things, these vitamins and  
14 supplements and growth hormones and things are what we call  
15 noncompendial products. They are not products that have --  
16 or in our case drugs -- that have been reviewed by the  
17 Homeopathic Pharmacopoeia Convention of the United States,  
18 which is the experts.

19 So, I do think that there is a constellation of  
20 products out on the fringe that are causing odgena for both  
21 the dietary supplement people and the homeopathic people.  
22 It's a question of regulatory discretion and whether or not  
23 the regulators decide to do something about those products,  
24 but I do know that I think if any of the regulators went back  
25 and talked to industry about them, they would probably find



1 an inclined ear because the press spillover is bad for  
2 everybody. And, frankly, the folks in the press don't make a  
3 distinction between who the bad actors are and who the good  
4 actors are. Everybody gets tarred with it.

5 So, Duffy, I'm right there with you. I'm going to  
6 follow your parade.

7 MS. ENGLE: And, Candace, I think you mentioned  
8 maybe 16 percent of consumers are buying their homeopathic  
9 drugs on the internet. Oh, 14 percent. Do the panelists see  
10 a distinction between the kinds of products that are  
11 available on the internet versus those that will be stocked  
12 by a Walmart or a Walgreens or a Whole Foods?

13 MR. LAND: I'll start with that. Just to put  
14 things in perspective, there are about over 7,000 homeopathic  
15 medicines registered with FDA today. Now, not all of those  
16 products are marketed, that's for sure. Some of them may  
17 have been discontinued from the market. But in the mass  
18 distribution channel, so places like Walmart and Walgreen, et  
19 cetera, by our measurements, there are fewer than 100  
20 products that are on the shelves in those kinds of outlets.  
21 Actually, we counted 78. So, in those channels, the number  
22 is small. The volume is probably larger individually for  
23 those products.

24 Probably in reality there's about a thousand  
25 homeopathic products that are marketed on a routine basis,

1 and the vast majority of those are in highly specialized  
2 either independent pharmacies -- and there are a handful of  
3 very important homeopathic pharmacies around the country that  
4 really specialize in homeopathic medicines, and they stock a  
5 very wide variety of products. And then there are retail  
6 stores like Whole Foods, et cetera, that probably stock in  
7 the neighborhood of hundreds of different products.

8           So, the question is where are those other 6,000  
9 products that haven't been accounted for. And they're  
10 probably sold on the internet; however, it's really important  
11 to note -- or they could be dispensed through physicians'  
12 offices, as well. But it's important to note that when we  
13 talk about internet sales, we're talking about people like  
14 Amazon.com, Drugstore.com, CVS.com, et cetera, traditional  
15 pharmacy distribution channels, but they're just on the  
16 online version.

17           So, there's a filter there. They're not stocking a  
18 wide variety of products. There is some control, and to the  
19 certain extent, they all exist according to the same law of  
20 Darwinian theory that Yale has mentioned. If they don't  
21 sell, they don't -- they're not on the internet.

22           But I think that if we look at a chain like Amazon,  
23 for example, or a system like Amazon, they're probably  
24 merchandising something in the low hundreds of different  
25 products.

1 MS. ENGLE: And I think one of you mentioned in the  
2 call we had prior that you feel pretty confident that the  
3 major retailers, the products they're selling only contain  
4 ingredients that are listed in the homeopathic pharmacopoeia,  
5 and they're not making some of the claims that we've seen on  
6 the internet say for things like curing cancer, obviously not  
7 a self-limiting condition or one that could be, you know, a  
8 customer could figure out by themselves.

9 DR. BORNEMAN: It's my own -- it's my personal  
10 belief that the counsel's office at the retailers -- large  
11 retailers are very much on top of what's being merchandised  
12 in their stores because they stand joint and severally liable  
13 if something happens. So, they're on top of it. Whether  
14 every drug that's sold in a mass retailer is compendial, I  
15 don't know, but I would argue that most of them are, if not  
16 very close to all of them are.

17 Every industry has outliers. And, so, if you focus  
18 on the outliers, you sometimes miss the point. And I think  
19 the point is that maybe we need to clean up the outliers.

20 MS. ENGLE: Mm-hmm.

21 MR. LAND: Well, and I'll just step in because  
22 we've mentioned a lot about HGH, et cetera, and I think we do  
23 have to give the regulatory community some credit in that  
24 both the FDA and FTC have taken steps against these products  
25 rather swiftly. And the reason is is that they're easy to

1 identify in the marketplace. HGH, clearly it's not going to  
2 be within the homeopathic literature; it's not going to be  
3 used for indications that have traditionally been treated by  
4 homeopathic medicines. So, you know, it's sort of like a  
5 speeder going through a red light, pretty easy for the cops  
6 to identify.

7 MS. ENGLE: Yeah, and in addition, the FDA and the  
8 FTC sent joint warning letters to --

9 MR. LAND: Absolutely. Sure.

10 MS. ENGLE: -- various marketers of homeopathic HCG  
11 for weight loss.

12 MR. LAND: Exactly.

13 MS. ENGLE: Yeah. And followed up with a couple of  
14 lawsuits, as well.

15 MR. LAND: And H1N1.

16 MS. ENGLE: Yeah.

17 MR. LAND: That was lots of fun.

18 MS. ENGLE: H1N1, as well, yeah.

19 I think we have some questions that have been  
20 passed up. Okay, thanks.

21 Oh, so, the first question is kind of a like a  
22 back-to-basics in terms of what is the definition or should  
23 be the definition of a homeopathic product. Maybe we were  
24 assuming too much knowledge here. And I don't know; I  
25 mentioned the term "allopathic," which was one I hadn't heard

1 myself until fairly recently. So, maybe -- I don't know  
2 who'd want to take on defining that.

3 MR. LAND: I know we have a lot of lawyers in the  
4 room, too.

5 (Laughter.)

6 MR. LAND: But, you know, the homeopathic  
7 product -- or a drug is defined in the federal Food, Drug &  
8 Cosmetic Act, and as it relates to homeopathic medicines, it  
9 is a product that is contained within the Homeopathic  
10 Pharmacopoeia of United States or its supplements. And  
11 that's a very simplified view. In operation, it is probably  
12 simple to say that it's a product that's prepared  
13 homeopathically and that has historically been used as a  
14 homeopathic product.

15 DR. BORNEMAN: One modification to that. The  
16 Compliance Policy Guide says it's recognized as homeopathic  
17 if it is an article that has a final monograph in the  
18 Homeopathic Pharmacopoeia of the United States or is  
19 generally recognized as homeopathic. So, that "or" is an  
20 important modifier in the current regulatory framework.

21 Does that answer your question?

22 MS. ENGLE: Yeah, I think so. And I don't know  
23 whether -- are we going to get into the second panel about  
24 things about dilution and that topic, or would that be a good  
25 thing to address here? Address it here.

1 DR. BORNEMAN: Okay. So, the homeopathic  
2 manufacturing process is unique in pharmacy. It has some  
3 components to it that set it apart. First, homeopathic  
4 medicines are made using a process called dilution and  
5 succussion. Dilution is the serial deconcentration, either  
6 one part in ten or one part in 100 stepwise of the act of  
7 principle. Along each step of that deconcentration is a  
8 vigorous succussion or shaking step. So, there were two  
9 things characterizing the homeopathic manufacturing process.

10 Homeopathic medicines are used according to the  
11 principle of similars. That principle says that if a drug in  
12 a large quantity causes symptoms in a healthy individual, and  
13 another individual presents with those symptoms from another  
14 etiology, it is possible that a homeopathically prepared form  
15 of what would have caused the symptoms in the healthy  
16 individual may have a mitigating effect in the afflicted  
17 individual.

18 So, the idea is that you use a substance that may  
19 cause symptoms in a healthy person. Think of an onion  
20 causing runny eyes and runny nose. Homeopathically, if you  
21 have seasonal rhinitis, *allium cepa* made from the red onion  
22 serially diluted and succussed may relieve those symptoms.  
23 That is homeopathy 101 in 15 seconds.

24 MS. ENGLE: Thank you, Professor.

25 (Laughter.)

1 MS. ENGLE: The next question is whether the  
2 placebo effect has been studied in regard to consumer  
3 satisfaction with homeopathic products. It has been  
4 mentioned that largely marketing of these products has been  
5 done word to mouth over the years; there hasn't been a huge  
6 amount of traditional advertising. So, recommendations from  
7 friends, and then if people aren't satisfied, they wouldn't  
8 continue to buy it, and that's why you see continued shelf  
9 placement.

10 Of course, there is a potential placebo effect. We  
11 see that all the time with other products. Has that been  
12 studied with homeopathic remedies?

13 MR. LAND: You know, I know there's physicians that  
14 will speak later, maybe more eloquently about this, but  
15 Candace will tell us that our satisfaction rating for  
16 homeopathic medicines is between 60 and 80 percent, depending  
17 on the therapeutic category. Placebo effect accounting for  
18 the Hawthorne component of that is probably around 30 percent  
19 -- doctors can correct. So, we can see that there's a wide  
20 difference between the satisfaction level for homeopathic  
21 medicines and the potential placebo effect.

22 DR. BORNEMAN: And I will add another nonscientific  
23 point. And that is that homeopathic medicines are routinely  
24 used for small children who would not necessarily be subject  
25 to the placebo effect. I think trying to -- actually, the

1 idea of crafting a population-based placebo effect study is  
2 sort of a fascinating idea. That might be fun to do.

3 MS. CORLETT: And when we have asked shoppers about  
4 their satisfaction, if they're treating a condition, they're  
5 often treating it with both over-the-counter medication and  
6 homeopathic medication. And the satisfaction rates are about  
7 on a par for both types of medication.

8 MS. ENGLE: Although I will say there is a case  
9 involving an FTC product where the court noted the effect of  
10 a mother's kiss on a child's boo-boo.

11 DR. BORNEMAN: I wonder how one measures that.

12 (Laughter.)

13 DR. BORNEMAN: Is that a hard end point?

14 MS. ENGLE: Let's see, this may be a question for  
15 Candace or Yale. I'm curious about learning more about the  
16 profile of who buys -- we touched on a little bit who is  
17 buying, the sort of demographics of who's buying homeopathic  
18 products, particularly with maybe Latino or ethnic  
19 communities or other minorities. Are there particular  
20 communities that these particularly appeal to and are popular  
21 with? And kind of the role of maybe culture in these  
22 particular purchasing habits and beliefs.

23 MS. CORLETT: You know, we did look at that, and we  
24 did see a little bit of bump among African American consumers  
25 in terms of homeopathic medications, not dramatic. We also



1 looked, thinking maybe, you know, the West, the Northwest, or  
2 the Southwest would be particularly stronger in terms of use  
3 of homeopathic medications, and we didn't see as much  
4 geographic differences that we thought we would. And just a  
5 bit of a bump among African American consumers.

6 MS. ENGLE: This question states that homeopathic  
7 products often claim in their advertising that they're  
8 regulated by the FDA, and consumers believe this implies  
9 these products have been tested for efficacy. So, wouldn't  
10 this claim be inherently deceptive?

11 MR. LAND: I would recommend against that practice.  
12 I think that the trade association has made a very strong  
13 recommendation that all labelers and advertisers of  
14 homeopathic medicines use a disclaimer announcing  
15 specifically that the products have not been evaluated by the  
16 Food & Drug Administration.

17 MS. ENGLE: And is that the disclaimer, Jay, that  
18 you had -- I think one of the three things you had  
19 recommended was --

20 DR. BORNEMAN: Yeah. I mean, there are a number of  
21 variants that are out there right now, and I think that you  
22 may see some data later on on how they compare to one  
23 another. But I think there's a premise here that we need to  
24 make sure we understand. Most homeopathic firms -- my  
25 homeopathic firm -- is very proud of the fact that we're in

1 the homeopathic pharmacy business. Accordingly, announcing  
2 that our product is homeopathic on the principal display  
3 panel is not a hardship. It's what we want to do. It  
4 distinguishes us. It's what makes us different.

5 The proclaimer language, which is what my team  
6 calls it, not a disclaimer language, we're proclaiming what  
7 we are, actually is just another part of that. And, frankly  
8 speaking, were it mandated, I don't think that most  
9 homeopathic firms would find it problematic at all.

10 So, the FDA-regulated thing is a little problematic  
11 because, as drug companies we follow GMPs and all these other  
12 things, and we are regularly inspected by the FDA and blah,  
13 blah, blah, blah, blah. So, there is some truth to that, but  
14 to use it to sort of mislead a consumer is inappropriate.

15 MS. ENGLE: Well, then, this next question kind of  
16 gets to the issue of the -- to the labeling on the package as  
17 homeopathic, and I guess there's two aspects to that, and one  
18 is whether it's prominent enough that consumers actually see  
19 and notice it. And the second is even if they do notice it,  
20 do they understand what it means. So, just the word by  
21 itself in our research has suggested that people didn't  
22 really get what it was.

23 DR. BORNEMAN: Yeah, I think it's a legitimate  
24 point, and I think reasonable people could discuss how large,  
25 how -- what the point size of the word "homeopathic" needs to

1 be. I don't know that that's particularly problematic. I  
2 know that from our own experience we put package inserts in  
3 our product that talk about homeopathy. We want our consumer  
4 to know more about it. And I think it goes back to Candace's  
5 research that says that people that are satisfied with the  
6 product and the brand and the idea go back and buy more.  
7 Homeopathic medicine is a very typical low-trial, high-repeat  
8 business.

9 MS. ENGLE: Thank you.

10 Rich, are there more questions you wanted to pass  
11 up?

12 MS. ENGLE: Okay, all right. Yeah, I don't  
13 understand this question either.

14 DR. BORNEMAN: Why did you give it to me?

15 MS. ENGLE: Maybe too much -- because you're the  
16 professor. It's too much technical terminology.

17 DR. BORNEMAN: The question says, if a product has  
18 an NDC code, then can a consumer tell if the product is an  
19 approved drug. The answer is no. An NDC code has nothing to  
20 do with drug approval. It's just a listing or registration.

21 MS. ENGLE: I don't know what an NDC code --

22 DR. BORNEMAN: A national drug code. It basically  
23 says you tell the FDA that you're going to sell the product,  
24 and you fill out the form.

25 MS. ENGLE: Okay.

1 DR. BORNEMAN: I mean, there is -- I mean, they  
2 review the form to make sure that the form is appropriate,  
3 but there's no level whatsoever -- that implies no level of  
4 scrutiny whatsoever. It's just a registration number.

5 MS. ENGLE: Okay. And does the -- we talked a  
6 little bit earlier about that some -- maybe some of the  
7 sellers on the internet are not really following the rules  
8 and so forth. They may be selling ingredients that are not  
9 really listed in the homeopathic pharmacopoeia and for  
10 indications that it's not appropriate.

11 Does the HPUS play any role in this? Is there any  
12 kind of self-regulatory body that would address kind of wild-  
13 west type of marketing?

14 DR. BORNEMAN: Yeah, the answer is no and yes. The  
15 HPCUS is a standard-setting body. It's not a regulatory  
16 body. And we don't hold ourselves out to be a regulatory  
17 body. But the standards that we set and the guidelines that  
18 we set could be used by regulatory bodies, should they choose  
19 to do so. So, we are a willing and happy partner in the  
20 process, but we are not a regulatory body, per se. That  
21 would be inappropriate relative to our role in federal law.

22 MR. LAND: From the trade association standpoint,  
23 we are not a regulatory body either; however, we do have  
24 procedures for reviewing and accepting new members. And part  
25 of that is to review representative labels of the products

1 that they market and to be consistent with the code of ethics  
2 of the association.

3 But more importantly and probably more effective  
4 than that is we conduct an education program that we call  
5 Compliance Through Education, and that's really aimed at  
6 trying to educate marketers and labelers of homeopathic  
7 products, very often on labeling and labeling issues, and our  
8 labeling seminars and webinars are the most widely attended  
9 of all the seminars that we offer. And they are generally  
10 taught by qualified experts. A few of them are here in the  
11 room, attorneys with a great deal of experience in labeling  
12 of drug products.

13 I would love to be able to say that we are reaching  
14 out to everyone. That's, of course, not true. But generally  
15 these labeling webinars, et cetera, exceed probably double or  
16 triple the amount of association membership. So, that means  
17 that we're reaching out to quite an audience beyond those  
18 that are members of our association.

19 MS. ENGLE: Okay, thank you.

20 Is there -- one more question? Okay. A couple  
21 more questions.

22 So, Duffy, I know that the Council for Responsible  
23 Nutrition has -- is pretty active in the self-regulatory  
24 space, and would you like to describe what you all do there?

25 DR. MACKAY: Yeah, we have a partnership with the

1 Better Business Bureau, the National Advertising Division,  
2 where we actually supply a grant that funds a position that  
3 helps review dietary supplement advertising through a process  
4 of challenge. So, what takes place is if anybody out there  
5 sees an advertiser that they feel has got false and  
6 misleading claims about it, they can do a challenge to that  
7 advertising where it's a voluntary process that's moderated  
8 by the National Advertising Division.

9           And, so, you would log your challenge through the  
10 NAD and say we saw this ad, we question its evidence to  
11 support. The NAD sends a letter to the manufacturer; the  
12 manufacturer has a certain given amount of time where they  
13 pull together their substantiation in the form of scientific  
14 evidence. They supply it back to the NAD, and there's a  
15 process; you know, it's an arbitration process that takes  
16 place.

17           And then, ultimately, the National Advertising  
18 Division comes up with a decision, and they look at that, and  
19 they sort of say, okay, Company X, you've been challenged and  
20 either, A, your claim is substantiated, good job; or, B, we  
21 think it needs to be modified for these reasons, and they  
22 give a very exhaustive definition of why the science doesn't  
23 meet the standard.

24           And then, at that point, the company has the choice  
25 to voluntarily comply. And if they choose to ignore the

1 decision of the National Advertising Division, there's a  
2 relationship with the FTC where they send a nice letter that  
3 says, you know, we've evaluated this case; these are the  
4 conclusions we've come to; FTC, if you get a chance, will you  
5 take a look at it.

6 And that usually has sort of its own strength to  
7 it. You know, people don't want, you know, their case teed  
8 up to the Federal Trade Commission within, and, so,  
9 therefore, there's a strong will to comply. And what's nice  
10 about the process is it's, you know, not the court of law,  
11 and it's not hugely expensive, and it's moderated very  
12 confidentially.

13 So, it's been really great for the dietary  
14 supplement industry. It's coming on nine years old at this  
15 point. We're about to have our ten-year anniversary of this.  
16 We've done, you know, over 150 cases. Some of these cases  
17 have gone up through the Federal Trade Commission and ended  
18 up to be big-deal cases. Lots of times, people get the first  
19 letter, and they say, holy, moly, we had no idea, and they  
20 change their advertising, and they get their act together.  
21 So, it's done a lot for cleaning up the industry.

22 MS. ENGLE: Yeah, and I do think -- I mean, just my  
23 perspective, when I see that it's a challenge that was  
24 brought by the trade association for the industry, I think,  
25 well, they probably looked into this, and, you know, there's

1 a good reason for this challenge to be happening.

2 DR. MACKAY: Yeah, and that's the other thing is  
3 that the competitors can challenge competitors, but we, as a  
4 trade association, have also agreed to do a certain number of  
5 challenges per year, just on our own, where we fund the  
6 challenge, and we challenge our own members, and we challenge  
7 others in the industry. And, so, you know, we're trying to  
8 do our part. And the whole idea is that the regulatory  
9 agencies are under-resourced, and we all support more  
10 resources, and we always like to say, FTC, you know, do your  
11 job more or, FDA, do your job more. But the bottom line is  
12 they have limited resources, so there's a role in the self-  
13 regulatory programs.

14 MS. ENGLE: Okay. And we will be hearing from Kat  
15 Dunnigan, who is the attorney at the NAD, in the last panel  
16 of the day.

17 All right, one last question, I think we have time  
18 for. Does the AAHP or the HPCUS play a role in identifying  
19 or reporting noncompliant products or outliers? Something  
20 similar to what Duffy described that CRN does.

21 MR. LAND: You know, this is an issue that the AAHP  
22 has struggled with for some time, and I'm actually very glad  
23 to hear what Duffy has announced because it's potentially --  
24 or parts of it are potentially a model for the AAHP. At this  
25 time, we have actually not really filed -- our principal



1 regulator that we would point to would be the FDA in these  
2 kinds of issues. And we had a history of filing comments  
3 with FDA when we identified outlier products. And due to  
4 under-resourcing there, there was very little action that  
5 they were able to take, and that practice kind of fell off.  
6 But I'm very interested to speak with Duffy, as well as  
7 representatives of the NAD to see how we could enact  
8 something like that, like they're doing.

9 MS. ENGLE: All right, great. Thank you.

10 Well, we've run out of time, and I want to thank  
11 all the panelists for this very helpful and educational  
12 discussion.

13 (Applause.)

14 MR. FORTSCH: We are going to go right into our  
15 next panel. And as they come up to the stage, I just wanted  
16 to say a couple of brief things. If you're on the panel,  
17 you're welcome to come right on up. This is the panel that  
18 will examine scientific support for homeopathic advertising  
19 claims, and it's going to be moderated by Rich Cleland, who's  
20 an Assistant Director in the Division.

21 While they're assembling, I'm just going to point  
22 out that there are opportunities to make remarks, comments on  
23 FTC.gov until November 20th. I'm going to repeat this a  
24 number of more times, just so that if you feel frustrated  
25 that your question didn't make it or your comment didn't make

1 it, you do have an opportunity to provide that on our website  
2 until November 20th.

3 So, I'll let everyone assemble, and Rich will take  
4 over in a minute.

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 PANEL 2: SCIENTIFIC SUPPORT FOR HOMEOPATHIC

2 ADVERTISING CLAIMS

3 MR. CLELAND: Good morning. My name is Rich  
4 Cleland, and I'm an Assistant Director in the Division of  
5 Advertising Practices. And last week, the FTC conducted a  
6 survey on the internet of products labeled as homeopathic.  
7 Among other things, we found products for eczema, acne,  
8 psoriasis, heartburn, flatulence, pain, tendinitis,  
9 arthritis, menopausal symptoms, ADHD, common cold, flu,  
10 weight loss, anemia, gum disease, diarrhea, and many more.

11 The question we're going to ask this panel is what  
12 kind of evidence constitutes competent and reliable  
13 scientific evidence sufficient to substantiate OTC  
14 homeopathic product claims. We have assembled a broad-based  
15 panel, and I'm going to introduce those people now.

16 To my left here is Dr. Richard Lostritto, the  
17 Acting Associate Director for Science and Division Director,  
18 Office of Policy for Pharmaceutical Quality at FDA, and he  
19 has a couple more titles, too, but those are in the bio.

20 At the end -- at the very far end is Dr. John  
21 Williamson, Branch Chief, Basic and Mechanistic Research in  
22 Complementary and Integrative Health at NIH.

23 Next to him is Dr. David Riley. He's board-  
24 certified in internal medicine, has conducted provings and  
25 clinical research, and is on the Board of the Homeopathic

1 Pharmacopoeia of the United States.

2 Second to the end down there on my right is Dr.  
3 Paul Herscu, who is the founder and Director of the New  
4 England School of Homeopathy.

5 Next to him, on his right, is Dr. Adriane Fugh-  
6 Berman, Associate Professor in the Department of Pharmacology  
7 and Physiology at Georgetown University. Next to me is Dr.  
8 Wayne Jonas, President and CEO of Samueli Institute Medical  
9 Center.

10 And next to him is Dr. Freddie Hoffman, CEO of  
11 HeteroGeneity. Dr. Hoffman was with the FDA for 13 years.  
12 And more expanded information is available about their bios.  
13 Right now, the procedure that we're going to use is that each  
14 panelist will have up to five minutes to make an opening  
15 statement, and then we will have a general discussion of  
16 issues related to science and homeopathy.

17 Let's start with Dr. Lostritto -- Lostritto, sorry.

18 DR. LOSTRITTO: Good morning. That's fine. Thank  
19 you.

20 Good morning and thank you for the invitation to  
21 participate on this scientific technical panel today. As a  
22 representative of the Office of Pharmaceutical Quality within  
23 CDER I am pleased to discuss product quality issues during  
24 this workshop as they may relate to products labeled as  
25 homeopathic. For clarity, today I will not be speaking to

1 FDA policy regarding homeopathic products.

2           Among many things, quality of a medicine includes  
3 the purity and grade of all ingredients that go into the  
4 product. Quality also includes the synthesis or isolation  
5 process and their controls to obtain the inactive -- excuse  
6 me, the active ingredients. Quality includes the methods of  
7 manufacture, that is, the processes by which raw materials  
8 are converted into a finished dosage form, which is then  
9 housed in a suitable container closure for distribution and  
10 use.

11           Data are required to support the stability of the  
12 product in that container closure to ensure adequate purity  
13 and potency over the shelf life. For sterile products, that  
14 includes sterility testing and, as appropriate, preservative  
15 effectiveness testing.

16           Conventional or allopathic drug products and  
17 biologics, whether prescription or over the counter, are  
18 required to meet certain standards of product quality before  
19 they may be marketed. By having quality standards in place,  
20 the intended, that is, the as-tested efficacy and safety  
21 outcomes, are more effectively assured.

22           Homeopathic products share many of the same desired  
23 quality-related outcomes as so-called allopathic products.  
24 These include a desire to manufacture consistent products of  
25 high quality that are properly made, which are stable

1 throughout the labeled shelf life and are without  
2 contamination from raw materials, processing, packaging, and  
3 so on.

4           However, there are some notable quality gaps  
5 between allopathic products and homeopathic drug products  
6 based on what is provided in the Homeopathic Pharmacopoeia of  
7 the United States. A brief listing of them includes, but is  
8 not limited to, the following general items: The controls of  
9 mother tinctures and triturates contain ambiguities and lacks  
10 testing for content and uniformity of the active principles,  
11 as in the case of botanicals, for example.

12           Dilution may be confounded by surface-active  
13 substances such that the real dilution may not always match  
14 the theoretical attenuation. This could be addressed, for  
15 example, by content testing at intermediate dilutions where  
16 low but measurable amounts of the active substances are  
17 present.

18           There is also confusion about the various  
19 succussion methods available for use. There appears to be no  
20 industry standard or basis for choice of method that is  
21 clearly evident. In the case of high attenuations,  
22 demonstrating a lack of active principle by normal chemical  
23 means may prove useful.

24           There are also concerns around several other  
25 quality issues, which I will mention only briefly for the

1     sake of time. These include but are not limited to testing  
2     and validation of sterility and preservative effectiveness  
3     where appropriate; and container closure integrity for  
4     vulnerable dosage forms such as injections, as well as liquid  
5     and semi-solid formulations for other routes of  
6     administration.

7             Although not strictly a quality concern, we do note  
8     for a number of monographs listed in the Homeopathic  
9     Pharmacopoeia that the lower attenuations listed in the Rx  
10    and in some cases, OTC use contain levels of active  
11    ingredient that could be thought to fall within allopathic,  
12    pharmacological, immunological, or toxicological active  
13    ranges.

14            Thank you very much for your kind attention, and I  
15    look forward to a productive discussion. Thank you.

16            MR. CLELAND: Thank you, Rik.

17            Dr. Williamson?

18            DR. WILLIAMSON: Good morning. I'm John Williamson  
19    from the National Center for Complementary and Integrative  
20    Health, NCCIH, at the National Institutes of Health. I'd  
21    like to begin my remarks by sharing a bit about my relevant  
22    background and where I worked before offering a few thoughts  
23    about the science regarding homeopathy.

24            First, I have a degree in pharmacy and hold a  
25    doctorate in medicinal chemistry and natural products

1 chemistry. I'm also an emeritus professor and former  
2 researcher in the field. At NCCIH, I serve as a Branch Chief  
3 in the Division of Extramural Research, and I oversee the  
4 Center's basic and mechanistic research efforts within our  
5 grantee community.

6 NCCIH's mission is to define through various  
7 rigorous scientific investigation the usefulness and safety  
8 of complementary and integrative health approaches. The  
9 Center's research priorities are driven by a strategic  
10 planning process, and the work we fund has to meet rigorous  
11 standards of scientific promise, amenability to study,  
12 potential for change -- potential to change health practice,  
13 and have a relationship to use and practice.

14 NCCIH's research portfolio focuses on two principal  
15 areas of research: first, mind and body practices such as  
16 meditation for stress, as well as yoga for pain conditions  
17 and secondly, natural products. Our research in natural  
18 products has ranged from basic mechanistic studies to the  
19 cofunding of major studies such as AREDS, a study that showed  
20 that a dietary supplement containing high doses of vitamins C  
21 and E, beta carotene and zinc may delay the development of  
22 advanced age-related macular degeneration in people who are  
23 at high risk.

24 Also, the GEM study. This is the Ginkgo Evaluation  
25 of Memory study of over 3,000 people, which showed that



1 ginkgo is ineffective at reducing the development of dementia  
2 and Alzheimer's disease in older people.

3           Key to the research of natural products clinical  
4 studies for NCCIH is our product integrity policy, which has  
5 strict criteria to ensure quality in natural products used in  
6 NCCIH-funded research and rigorous methods and design of  
7 clinical trials using practices to find as CONSORT,  
8 Consolidated Standards of Reporting Trials, which is an  
9 evidence-based minimum set of recommendations for reporting  
10 randomized clinical trials.

11           As I noted, the NCCIH is part of the National  
12 Institutes of Health, or NIH. NIH, as the nation's medical  
13 research agency, includes 27 institutes and centers and is a  
14 component of the U.S. Department of Health and Human  
15 Services. NIH is the primary federal agency conducting and  
16 supporting basic clinical and translational medical research  
17 and is investigating the causes, treatments, and cures for  
18 both common and rare diseases.

19           NIH-supported scientific studies range from  
20 laboratory research to large randomized controlled clinical  
21 trials to test the efficacy of medications to prevention  
22 trials. The research that NIH ultimately supports goes  
23 through a rigorous, two-tiered peer-review process, which is  
24 designed to evaluate the scientific merit of grant  
25 applications while avoiding bias and conflicts of interest,

1 which ensures that the researchers funded are held to the  
2 highest standards of scientific approach and methodology.

3 In speaking about homeopathy today, I'm addressing  
4 the potential study of ultra-high dilution homeopathic  
5 products. This is distinct from products that may be labeled  
6 as homeopathic but have active ingredients. Furthermore, I  
7 am not referring to homeopathic care or its delivery or the  
8 potential benefit of patient/provider interactions.

9 In regard to what the science shows about  
10 homeopathy, the scientific literature describing the most  
11 rigorous clinical trials and systematic analysis and review  
12 of the research have concluded that there is little evidence  
13 to support homeopathy as an effective treatment for any  
14 specific condition. A 2015 comprehensive assessment of  
15 evidence by the Australian Government's National Health and  
16 Medical Research Council, for example, concluded that there  
17 are no health conditions for which there is reliable evidence  
18 that homeopathy is effective.

19 As there is no accepted scientific method to  
20 measure the components in ultra-high dilution products, it  
21 would be difficult to meet the NCCIH's product integrity  
22 policy criteria for a study of these products.

23 Finally, given some products labeled as homeopathic  
24 may contain active ingredients, this does raise safety  
25 concerns as active ingredients in products should be studied

1 for efficacy and safety, including toxicity and interactions  
2 and would be amenable to rigorous scientific investigation.

3 Thank you very much.

4 MR. CLELAND: Thank you, John.

5 Dr. Riley?

6 DR. RILEY: I want to thank everybody for inviting  
7 me -- or the FTC for inviting me here to speak today. I  
8 wanted to step back for a second and say that in January 1996  
9 David Sackett, who is widely recognized as one of the key  
10 figures in evidence-based medicine, published an article in  
11 the British Medical Journal, and he said, "Without clinical  
12 expertise, practice risks become tyrannized by evidence" --  
13 and by that he meant external evidence -- "for even excellent  
14 evidence may be inappropriate for an individual patient."

15 So, as previously described in the last panel,  
16 according to the Homeopathic Pharmacopoeia Convention of the  
17 U.S., which is kind of a mouthful, but it's the HPCUS,  
18 homeopathy is the art and science of healing the sick by  
19 using substances capable of causing the same symptoms,  
20 syndromes, and conditions when administered to healthy people  
21 in a homeopathic drug proving.

22 I would step back and just comment that this is  
23 kind of similar to what we do in allergy desensitization in  
24 conventional medicine, which doesn't make a whole lot of  
25 sense, but it seems to have a role to play in some patients.

1           Efficacy determinations for homeopathic ingredients  
2           that are officially monographed in the Homeopathic  
3           Pharmacopoeia of the United States, which is the HPUS, not to  
4           be confused with the HPCUS, are made by the board of  
5           directors of that organization. And officially monographed  
6           homeopathic ingredients in the HPUS are supported by one of  
7           three things: homeopathic drug provings and/or clinical  
8           research and/or the use of that homeopathic product prior to  
9           1962 when the Kefauver-Harris Amendment came into play.

10           Labeling guidelines for OTC homeopathic products  
11           are available through the Compliance Policy Guide, and that  
12           covers both official and unofficial homeopathic drugs.

13           So, homeopathic drug provings are submitted to the  
14           HPUS -- or when they're submitted to the HPUS those drug  
15           provings are conducted on subjects using a homeopathically  
16           prepared medication, prepared according to the GMP guidelines  
17           of the HPUS, and they adhere to all the current regulations  
18           for clinical practice.

19           These drug provings must follow -- there's a bunch  
20           of things here -- the Helsinki Declaration, good clinical  
21           practice research guidelines, adverse event reporting, and  
22           they should -- they have to have IRB approval. Placebo  
23           controls are recommended to minimize bias. Contemporary  
24           homeopathic drug provings are essentially controlled  
25           qualitative trials, not quantitative trials, and the HPUS

1 homeopathic drug proving guidelines provide an outline for  
2 homeopathic drug-proving methodology that's acceptable to the  
3 HPUS. And this has been a recent effort of the organization  
4 to clarify and qualify the standards.

5           So, we talked a lot about scientific evidence and  
6 the scientific evidence frameworks commonly as a conventional  
7 internist refers to clinical practice guidelines and various  
8 treatment recommendation classification systems such as  
9 GRADE. And these recommendations help create a risk/benefit  
10 analysis based on expert opinion, case reports and series,  
11 cohort studies, observational studies, quasi-experimental  
12 designs, which are really controlled trials, and controlled  
13 trials which have multiple subcategories, from N of 1 trials  
14 to efficacy trials to pragmatic trials, and systematic  
15 reviews and meta-analyses.

16           So, there's all this whole ever-changing soup of  
17 evidence that's being used to evaluate effectiveness. So,  
18 there's a complete database of clinical evidence regarding  
19 homeopathy, not all positive -- some positive and some  
20 negative. It's available through the CORE-Hom database of  
21 clinical research in homeopathy. It's at no charge. And it  
22 currently includes 1,117 clinical trials of homeopathy.

23           Probably more relevant to easy access, except this  
24 is a database that's widely available now, there's 217  
25 controlled clinical trials that were identified in a recent

1 review published by Robert Mathie in a peer-reviewed indexed  
2 medical review. And 80 of those -- 137 of those were peer-  
3 reviewed.

4 And in conclusion I would talk -- mention Gordon  
5 Guyatt, who is one of the founders of the GRADE analysis. He  
6 says, "High quality evidence" -- and by that he's referring  
7 to systematic reviews and randomized controlled trials --  
8 "don't necessarily imply strong recommendations and that  
9 strong recommendations can arrive from low-quality evidence."  
10 So, I think there's a wide range of standards and a wide  
11 range of regulatory frameworks that are in place today.

12 Thank you.

13 MR. CLELAND: Thank you, David.

14 Dr. Herscu? (Mispronounced.)

15 DR. HERSCU: Good morning, and my name is Paul  
16 Herscu.

17 MR. CLELAND: I'm sorry.

18 DR. HERSCU: No, no problem. Thank you for the  
19 opportunity to present comments on behalf of the American  
20 Association of Naturopathic Physicians, the national  
21 professional association representing 4,500 licensed  
22 naturopathic physicians in the United States. Our members  
23 are physicians trained as experts in natural medicine,  
24 attending four-year, in-residence, full-time, graduate-level  
25 programs in institutions recognized by regional accrediting

1 bodies that are, in turn, recognized by the U.S. Department  
2 of Education.

3           Naturopathic medical schools provide equivalent  
4 foundational coursework as M.D. and D.O. schools, including  
5 basic sciences, as well as specialties, such as cardiology  
6 and urology, et cetera. In addition, N.D. programs provide  
7 extensive education unique to the naturopathic approach,  
8 emphasizing disease prevention and whole-person wellness,  
9 including general and specialty education in homeopathic  
10 medicine, leading to board certification in homeopathy, the  
11 DHANP.

12           Since N.D.s are extensively trained in pharmacology  
13 and these integrate naturopathic treatments with prescription  
14 medications, often working with conventional medical and  
15 osteopathic doctors to ensure safe and comprehensive care and  
16 as such have a unique perspective of questions of homeopathy  
17 in the United States.

18           Aside from my involvement with the AANP, I  
19 cofounded the New England School of Homeopathy, the largest  
20 and oldest continuous post-graduate study of homeopathy in  
21 the United States, training physicians in the art and science  
22 of integrating homeopathy into their medical practice; as  
23 well and sort of kind of interesting, I consult with  
24 conventional pharmaceutical industry to design -- in the  
25 design of clinical trials focusing on identifying and

1 removing confounders to clinical trials. And, so, I have a  
2 foot in both the pharmaceutical world and homeopathic world  
3 in terms of study design.

4 I wanted to start by highlighting the reason I  
5 became a naturopathic physician, focusing primarily on  
6 homeopathy. At the time of my medical education, the 1980s,  
7 I thought the current medical model had a blind spot that no  
8 one was looking at. Specifically, when prescribing a drug or  
9 therapy, clinicians have no idea, a priori, whether they  
10 would help or not their own patients.

11 In other words, when we knew a drug was 60 percent  
12 effective or 70 or 80 percent effective, we had no tools or  
13 even a way to approach the most basic salient point: Is the  
14 patient in front of me -- my patient -- is he going to fit  
15 the 80 percent likely to improve or in the 20 percent who's  
16 not likely to improve. And, more importantly, is my patient  
17 likely to be in the majority that are not going to experience  
18 any adverse events, or will my patient suffer from horrendous  
19 side effects?

20 There were no tools available to the clinician, and  
21 we all moved in lock step, as if this question did not  
22 matter, did not even exist, though it did and does to me.  
23 So, too, was this a question important to the originators of  
24 homeopathy who decided to create a better way to test  
25 pharmacological agents. Homeopathy gave us several



1 methodologies to testing medical agents and created what to  
2 this day still forms the backbone of the very best in  
3 clinical trial design in answering the most important  
4 question: Which of the many therapies available to me will  
5 my patient most likely benefit from?

6 I have a lot to say on this whole topic, but to the  
7 question at hand, I hear both state that homeopathic remedies  
8 work, are and should continue to be available OTC, and  
9 forgotten, homeopathic methodologies form a strong, vibrant  
10 science backbone and background that is currently used by all  
11 scientists, whether they know it or not. I hope to discuss  
12 some of that today.

13 Thank you.

14 MR. CLELAND: Thank you, Paul.

15 Adriane?

16 DR. FUGH-BERMAN: Homeopathic remedies are not  
17 supported by competent and reliable scientific evidence.  
18 Establishing a benefit of a therapy in humans requires  
19 randomized controlled trials, or -- also called RCTs.  
20 Randomization, excuse me, ensures that study subjects have an  
21 equal chance of being in a treatment or control group. In  
22 controls, which can be a placebo, or it can be a sham, or it  
23 can be a proven treatment, which are necessary to account for  
24 the fact that any therapy has nonspecific effects?  
25 Nonspecific effects are also called placebo effects, and

1 these are patient and practitioner factors that contribute to  
2 a therapy's benefits.

3 Diseases and symptoms get better, get worse,  
4 persist, or vanish for many different reasons. Expectation,  
5 will, and belief on the part of either the patient or the  
6 practitioner -- because if a practitioner believes in a  
7 therapy, it will work better for the patient -- the natural  
8 history of a disease and many other factors, some of which  
9 are known and some of which are unknown, all affect how a  
10 patient responds to a therapy.

11 A controlled study is necessary to determine  
12 whether a therapy's value lies only in provoking nonspecific  
13 or placebo responses. Is the placebo effect a bad thing?  
14 No. Placebo effects are genuine, and they're therapeutic.  
15 Placebo effects represent the patient's own self-healing  
16 powers. Every therapy, including conventional drugs and  
17 surgery, induces placebo effects that can amplify the  
18 physiologic effects of a therapy. If you believe in a  
19 therapy, it will work better for you. And if you believe in  
20 your healthcare provider, whatever therapy that healthcare  
21 provider uses, is going to work better for you.

22 But it's because of the placebo effect that RCTs  
23 are necessary. Only a randomized controlled trial can  
24 establish whether a therapy has an effect above and beyond  
25 its nonspecific effects. And only therapies that are better

1 than placebo or a sham or equal -- or are equal to proven  
2 treatments should be marketed with disease claims.

3 Therapies supported by scientific evidence have  
4 therapeutic effects over and above placebo. Here is where  
5 homeopathy fails. The effects of high dilution or what  
6 homeopaths call low-potency homeopathic products are placebo  
7 effects, and this has been confirmed by most high-quality  
8 RCTs of high-dilution products. Most of those high-quality  
9 RCTs of high-dilution products have found no benefit of  
10 homeopathy over placebo.

11 There are positive RCTs of some homeopathic  
12 preparations; however, many of these trials have been done  
13 with dosages of compounds that are pharmacologically active.  
14 In other words, because there's no upper limit on how much of  
15 a substance can be in a homeopathic remedy, these  
16 preparations can contain measurable and pharmacologically  
17 active levels of ingredients, including drug-strength dosages  
18 of minerals, plant-based medicines, or prescription drugs.

19 A test of a pharmacologically active dose of a  
20 mineral is a test of a dietary supplement. A test of a  
21 pharmacologically active dose of a drug is a test of a drug.

22 I want to say a word about homeopathic provings.  
23 Careful observation is an important part of science, but so  
24 is reproducibility. And when we're talking about looking at  
25 clinical benefit, we need to have a randomized controlled

1 trial. Provings, because they use pharmacologically active  
2 doses, may cause symptoms. Participating in a proving may  
3 also elicit symptoms that are not due to pharmacologic  
4 effects. But provings are not scientific; they're merely  
5 descriptions of symptoms that are elicited by substances.

6 More importantly, provings have absolutely nothing  
7 to do with the efficacy of a therapy. Any substance,  
8 including water, in a high enough dose will cause symptoms.  
9 That fact says absolutely nothing about the ability of that  
10 substance in any dose to help those symptoms or to help any  
11 symptoms.

12 Even if one believes that provings provide useful  
13 information, a proving provides diagnostic, not therapeutic,  
14 information. Homeopaths assess symptoms and match them with  
15 symptoms induced in a homeopathic proving. A proving may  
16 help a homeopath reach a homeopathic diagnosis, but it says  
17 absolutely nothing about therapeutic benefit.

18 I understand that the label on homeopathic products  
19 is the FDA's concern rather than the FTC's, but the question  
20 as to whether homeopathic remedies are supported by competent  
21 and reliable scientific evidence can't be rationally  
22 addressed if what is considered a homeopathic remedy can  
23 contain a drug-strength compound or materially nothing or  
24 anything in between.

25 Efficacy and safety claims can be promotional

1 claims. We've heard today that advertising contributes  
2 little to the sales of homeopathic products, but promotion  
3 can contribute a lot to the sales of products, and as  
4 Commissioner Ohlhausen noted, where a product appears on the  
5 shelf can be a promotional claim. Efficacy claims can be  
6 promotional claims. Safety claims can be promotional claims.

7 Most consumers have no idea what homeopathy is.  
8 That's already been brought up. And I would add even if they  
9 think they do. So, Ms. Corlett's survey did not test whether  
10 consumers who think they know what homeopathy is are actually  
11 correct.

12 MR. CLELAND: Adriane, could you --

13 DR. FUGH-BERMAN: Okay, yeah. Okay, there is no  
14 alternative science to establish therapeutic benefit. Only  
15 RCTs establish competent and reliable scientific evidence.  
16 Homeopathy has failed that standard.

17 MR. CLELAND: Thank you.

18 Dr. Jonas?

19 DR. JONAS: Thank you. I appreciate the  
20 opportunity to be here, and I appreciate the fact that the  
21 FTC is examining these areas. I think it's an important area  
22 for the public health, and I think the alignment of  
23 regulatory policy with good science and good evidence is  
24 exactly what we need in the interest of public health and  
25 that that's where we should be focused.

1           I run an organization that does science on healing  
2           and healing practices of various types, conventional and  
3           complementary or integrative, as they now call it. It  
4           includes some complementary and alternative practices, and  
5           I've had a particular interest in the area of homeopathy for  
6           many, many years, mainly because of its historical and  
7           methodological challenges that it provides.

8           Klaus Linde from the University of Munich and I did  
9           the first basic science criteria-based meta-analysis of  
10          homeopathy and published it in *Human and Experimental*  
11          *Toxicology* in 1995. We found out that there was no criteria  
12          for basic science quality assessment, and now those types of  
13          criteria have evolved and are now being used. That was while  
14          I was at Walter Reed Army Institute of Research.

15          I then went over and ran the Office of Alternative  
16          Medicine, one of the precursors, predecessors to the National  
17          Center for Complementary and Integrative Health. And during  
18          that time, we applied meta-analytic techniques to the  
19          clinical research in homeopathy. This was an emerging field.  
20          Cochrane was fairly new then. We started the Cochrane  
21          Interest Group in Complementary Medicine out of the NIH,  
22          which I think continues. And, so, the application of meta-  
23          analysis was evolving in that area.

24          To do this research, we brought in the person who  
25          literally wrote the book on meta-analysis, Larry Hedges from

1 the University of Chicago, as well as one of the first center  
2 directors for Cochrane, Gilbert Ramirez from the University  
3 of Texas. We did a systematic, comprehensive evaluation of  
4 homeopathy, including examining placebo and the placebo  
5 effect in that. And what we concluded out of that was that  
6 it was impossible to answer the overall question of does  
7 homeopathy work better than placebo just by taking a mixed  
8 bag of lots of different types of research.

9 In fact, we statistically calculated what would  
10 happen in the future if you invested more research in those  
11 areas, depending upon whether studies came out to be positive  
12 and negative, and we predicted that future meta-analyses  
13 would actually show mixed effects, depending upon how it was  
14 selected and conducted. Subsequently, over the last 20  
15 years, that's exactly what's happened. There's now been 14  
16 systematic high-quality meta-analyses done in these areas.

17 They alternate in their claims. One claims it's  
18 positive; the next one claims it's negative; a few claim  
19 something in between. The Australian study that is the most  
20 recent of those, that was just mentioned, highly selected in  
21 those areas; if you want to go back, and what in my opinion  
22 is a much more comprehensive criteria-based analysis a few  
23 years before that, the Swiss Government did a health  
24 technology assessment on homeopathy, and it claimed exactly  
25 the opposite.

1           And, so, the whole issue of applying good science  
2           is a challenge in these areas, but -- and I agree with Dr.  
3           Berman -- Fugh-Berman and others on this panel that you have  
4           to do high-quality research, and there aren't different  
5           methods for these areas; there's just appropriate application  
6           of these methods. One of the reasons evidence-based medicine  
7           has evolved is because conventional medicine did expert  
8           opinion as the primary basis for making decisions, sort of  
9           like panels like this. And that ended up causing a lot of  
10          harm. I, as a conventional physician, actually prescribe  
11          many drugs that I found out later, after randomized  
12          controlled trials and others, were harmful and hurting  
13          patients in these areas. So, I think harm and safety needs  
14          to be really the foundation that's looked at.

15                 Evaluations should be comprehensive, systematic,  
16                 and they should apply very good bias reduction methods. These  
17                 methods exist, but they are also evolving. The Samueli  
18                 Institute works closely with the RAND Corporation and has  
19                 evolved bias reduction methods that I think are the best in  
20                 the world in these areas. There are the application of  
21                 others that have published in this area, including standards  
22                 from the Institute of Medicine, the Agency for Healthcare  
23                 Research and Policy, Cochrane, the GRADE approaches, and  
24                 others.

25                 I'm not going to go into the details of those



1 methods, but I would like to lay out and recommend some  
2 principles that the FTC follow as they go into the evaluation  
3 of this area. I've already mentioned using good evidence-  
4 based approaches and not sort of the battle of the experts,  
5 if you will.

6           The second, I think that you have to match the  
7 evidence with the purpose of how that information is going to  
8 be used, and there are multiple decision-makers in clinical  
9 care, including scientists, including clinicians, but most  
10 especially the public. And, so, you need to be able to bring  
11 in public assessment and opinion and analysis into this area.  
12 Regulatory aspects are important, but they're only one type.  
13 The chemistry of it is only -- is only one type of evidence  
14 that you -- that you get. Great.

15           So, the public focus should be the primary one, and  
16 if you do that, there's a very clear path for evidence  
17 analysis that should be done. Number one, safety. You need  
18 to make sure you're not harming people. Number two,  
19 effectiveness, which is different than efficacy. It's does  
20 it work out in the real world, and health services research  
21 and observational studies are provided as the best evidence  
22 for that, comparative effectiveness trials, actually. And  
23 then efficacy in those areas. Mechanism informs those but  
24 shouldn't dictate those.

25           And, so, I think the FTC has an opportunity here,

1 not just to reassess homeopathy, but to really provide a  
2 great public service by breaking new ground in how we go  
3 about applying evidence to policy. You've all heard of  
4 patient-centered research. There's PCORI and other  
5 organizations around that are now focused on patient-centered  
6 research, and I suggest we need public-centered -- I'm sure  
7 you've heard of patient-centered care; we need public-  
8 centered research in these areas. And I think homeopathy  
9 provides us a great opportunity to do that.

10 So, thank you.

11 MR. CLELAND: Thank you, Dr. Jonas.

12 Dr. Hoffman?

13 DR. HOFFMAN: Thank you very much. I wanted to  
14 thank the Federal Trade Commission for inviting me here  
15 today. I am actually a consultant. HeteroGeneity addresses  
16 botanicals and probiotics and complex products. We have  
17 products from all realms, including homeopathy, which are not  
18 -- they come to us not to be homeopathic but to see what they  
19 can do in terms of the mainstream approaches. But I also  
20 served at FDA. I chaired the homeopathic working group in  
21 the late '90s. Then I left, and I joined the consumer  
22 healthcare group of Warner-Lambert, which became Pfizer, and  
23 I know that Pfizer was dealing with these issues, as well.

24 Let me start by saying that I am going to go back  
25 and talk about how the FDA's policy has brought us here

1 today. I think it's important to find out why we're here as  
2 to where we came from. The practice of homeopathy was deemed  
3 quackery back in 1906. It did not meet the current standards  
4 in 1906 for scientific evidence. These drugs came back into  
5 the Food, Drug & Cosmetic Act in 1938, with the addition of  
6 the single word to the law, the Homeopathic Pharmacopoeia of  
7 the United States being considered an official compendium.

8 When I joined FDA, the agency told me on the first  
9 day I walked in, in God we trust; all others must show data.

10 (Laughter).

11 DR. HOFFMAN: However, the FDA has never required  
12 data from this class of drugs. The FDA has singled out this  
13 particular group of drugs as unique from all other classes of  
14 drugs, warranting an exemption for deferment from the  
15 agency's -- the congressionally mandated oversight of U.S.  
16 drugs marketed post 1938.

17 The 1988 compliance guide, which has been alluded  
18 to, describes the conditions under which homeopathic drugs  
19 may be marketed, which serve to further distinguish them as a  
20 special class of drugs. This policy guide does require that  
21 these products bear the directions of use and at least one  
22 major over-the-counter indication. But it also allows these  
23 drugs dispensation from the legal requirements for new drugs,  
24 from the OTC drug ingredient monographs, and from certain key  
25 GMP requirements, such as the final determination of identity

1 and strength of the active components, and the expiration  
2 dating, all the while not imposing limitations on the amount  
3 of alcohol content. Nonhomeopathic drugs are limited to 10  
4 percent or less; homeopathic drugs have no limit, sold direct  
5 to consumer.

6 Homeopathy may be unique as a practice, but it is  
7 no means alone in terms of practices that are still practiced  
8 today, which include TCM, which is traditional Chinese  
9 medicine, and Ayurveda, practiced by billions of people.  
10 These all arose prior to the modern era of science; however,  
11 there is no need to prove or to disprove the practice of  
12 homeopathy, because the practice of medicine is not under  
13 federal jurisdiction at this time. The practices are  
14 controlled by the states.

15 And I say this because today there are complex  
16 botanicals, fish oil products, marketed as prescription drugs  
17 in the United States under new drug applications, under NDAs,  
18 and acupuncture needles marketed as medical devices. These  
19 products came through the mainstream regulatory requirements.  
20 They were required to have scientific evidence in support of  
21 their marketing, which included scientific method, data  
22 collection, and analysis. How they work was not at issue.  
23 That they worked was at issue.

24 To date, no homeopathic drug has been  
25 scientifically proven safe and effective based on FDA

1 standards, and what is interesting is that actually would  
2 make them be health fraud under the FDA's definition of  
3 health fraud in CPG 400.400.

4           With regard to how the FTC should proceed, the FTC  
5 Act gives FTC a legal mandate to require that health and  
6 safety claims be supported by competent and reliable  
7 scientific evidence, which the FTC defines as tests, studies,  
8 or other scientific evidence that has been evaluated by  
9 people qualified to review it. The HPUS cannot be used to  
10 support material claims of health and safety. This is stated  
11 clearly within the CPG 400.400. It says, "A product's  
12 compliance with requirements of HPUS or even the U.S.  
13 Pharmacopoeia does not establish that it has been shown by  
14 appropriate means to be safe, effective, and not misbranded  
15 for its use."

16           It is the 21st Century. It appears that the FDA  
17 may be rethinking its pronouncement of homeopathic drug  
18 exceptionalism. But I can see nothing produced so far by  
19 either the supporters or the detractors of homeopathy that  
20 calls for the absolution of homeopathic drugs from the laws  
21 of the known physical universe. More importantly, with the  
22 significant market expansion of homeopathic drugs in the U.S.  
23 in recent decades, along with the absence of compelling  
24 evidence of benefit or documented safety or efficacy, it is  
25 difficult to formulate with any basis, scientific or

1 otherwise, upon which FTC should ignore its legal  
2 responsibilities to the U.S. consumer to ensure that  
3 homeopathic drug ads are truthful, nondeceptive, and not  
4 unfair and are backed by sufficient scientific evidence.

5 MR. CLELAND: Thank you, Dr. Hoffman.

6 DR. HOFFMAN: Thank you.

7 MR. CLELAND: Well, as sometimes happens on these  
8 panels, you know, you meet and discuss what kind of questions  
9 you're going to ask and discuss in the panel, and then your  
10 panelists answer some of those questions in their opening  
11 statements. So, that's actually going to help me along here.

12 So, Dr. Riley, you indicated, and I think you  
13 indicated there were three bases on which products are  
14 included in the HUP -- or the HPUS U.S., and one of those was  
15 drug provings or provings -- not drug provings, but provings.  
16 I'm not sure everyone in this audience understands exactly  
17 what a proving is. They may have it actually confused with a  
18 clinical -- some type of clinical trial. Can you give us a  
19 description of what a proving is trying to prove?

20 DR. RILEY: A proving is trying to collect the  
21 symptoms experienced by people when taking a homeopathic,  
22 not an allopathic, dose of a homeopathic drug. So,  
23 homeopathic --

24 MR. CLELAND: Even people with no symptoms?

25 DR. RILEY: People with no symptoms are given a

1 diary, and a diary is collected, and it's a controlled,  
2 qualitative study, and there's guidelines that have been  
3 produced that incorporate, you know, some of the contemporary  
4 scientific research methods that I discussed in terms of  
5 randomization, placebo controls, and such.

6 MR. CLELAND: Paul, do you want to -- is there  
7 anything you want to add to that?

8 DR. HERSCU: Yeah, there's a lot.

9 (Laughter.)

10 DR. HERSCU: First of all, there's a lot to this  
11 question, and I guess Dr. Riley is answering it in the  
12 shortest possible way. But let me say that I think provings  
13 are a foundational scientific method. It is used, in one  
14 respect or another, by everybody running clinical research.  
15 And I guess I wasn't really supposed to talk about these  
16 things, but what the heck.

17 My colleague, Adriane?

18 DR. FUGH-BERMAN: Sure.

19 DR. HERSCU: Sure, okay. My colleague, Adriane,  
20 thought that, you know, clinical trials are -- should be --  
21 should have placebo arms or sham arms or masking or blinding,  
22 which we all know adds to -- validity to remove -- to  
23 subtract biases as in removing Clever-Hans or Hawthorne  
24 effects. So, this has become part of clinical trials since  
25 the 1920s, 1930s. It's almost 100 years. It's who wouldn't

1 really do that.

2 What everybody has forgotten is that those things  
3 began with homeopathic clinical trials. Homeopaths began  
4 placebo trials. Homeopaths began masking and blinding. I  
5 have specific quotes here which are a little bit lengthy, and  
6 I was asked not to go through all of those, but --

7 MR. CLELAND: Okay, thank you.

8 DR. HERSCU: -- if somebody asks a question, I'll  
9 be able to say it.

10 MR. CLELAND: All right.

11 DR. HERSCU: Thank you.

12 MR. CLELAND: Okay. So, I want to understand, take  
13 a subgroup, 10 or so people, 20 people, divide them up into  
14 two groups, give one of them the sham, and you let them  
15 record their subjective symptoms.

16 DR. RILEY: Yes, generally, the placebo -- the  
17 symptoms experienced in the placebo group are not included in  
18 a report from a homeopathic drug proving. These are -- I'm  
19 talking specifically now about homeopathic drug provings that  
20 are submitted to support a monograph application and  
21 inclusion in the HPUS.

22 MR. CLELAND: Okay. All right, we'll come back to  
23 that in a minute. And I do want to ask a question in terms  
24 of just to get a slightly bigger picture here. Earlier, we  
25 heard a discussion about sort of the difference where



1 homeopathy came from and, you know, where it went. And I  
2 think traditionally it is my understanding homeopathic  
3 remedies were individualized under the care of a treating  
4 physician who monitored the patients progress and could make  
5 all sorts of adjustments based on that progress.

6 Does the removal of this learned intermediary from  
7 this process suggest that OTC remedies, homeopathic remedies,  
8 should be subject to a more traditional scientific framework?

9 David?

10 DR. RILEY: Well, I would say there are different  
11 levels of individualization in homeopathy, so you may have a  
12 professional homeopathic practitioner like Dr. Herscu who is  
13 going to be taking a fairly sophisticated level of  
14 individualization to picking out and selecting a medication.  
15 But a consumer walking into a pharmacy or a health food store  
16 wanting to self-manage, diagnose, and treat is also going to  
17 be individualizing. They're just individualizing on a cruder  
18 level. And if they have successes, they may come back; if  
19 they don't, they may go on to seek other therapies -- other  
20 therapeutic interventions for their problem.

21 I think that it's -- in this age, it's really  
22 disingenuous to limit evidence to a randomized controlled  
23 trial. I mean, the conventional scientific establishment is  
24 struggling with n-of-1 studies, pragmatic studies,  
25 comparative effectiveness studies. There are lots of ways we

1 begin to establish evidence, so individualization is one  
2 that's common in all of medicine now. And that's being  
3 recognized more and more. You look at some of the genomic  
4 and epigenetic influences of what we're doing. So, I think  
5 that individualization does occur when a consumer walks into  
6 a store to select a homeopathic remedy.

7 MR. CLELAND: So, your answer would be that a more  
8 traditional scientific framework is not necessary?

9 DR. RILEY: I did not say that. I just said that I  
10 think that there is a scientific framework to what goes on  
11 right now, and I'm always in favor of more evidence.

12 MR. CLELAND: Okay, let's go back to talk about  
13 provings, then. How are these -- how are the observations in  
14 a proving actually validated?

15 Paul or David? Either one.

16 DR. HERSCU: Oh, me?

17 MR. CLELAND: Either one.

18 DR. RILEY: You can go ahead.

19 DR. HERSCU: You want me to take it?

20 MR. CLELAND: He had the last one; you can have it.

21 DR. HERSCU: Okay, so, once we take the homeopathic  
22 -- once we take the symptoms of the provers, we categorize  
23 them into several categories. A very simple description of  
24 what a proving is just taking a very healthy person, of which  
25 it turns out there's no such thing as a very healthy person.

1     Everybody has symptoms to one extent or another.  So,  
2     symptoms that we take within the proving fall into -- broadly  
3     speaking, into four different categories:  new symptoms not  
4     previously experienced, unexpected recurrence of past  
5     symptoms, unexpected changes, improvement in ongoing or  
6     recurring symptoms, and unexpected changes in terms of  
7     worsening or aggravating symptoms.  So, there's a confluence  
8     of information that's gathered, and we can provide a -- the  
9     framework that we use that HPCUS uses for approving provings.

10           MR. CLELAND:  Okay.  Someone, and I thought it was  
11     you, David, provided me with a copy of the HPUS clinical  
12     trial guidelines.

13           DR. RILEY:  Proving guidelines, yes.

14           MR. CLELAND:  Yeah, the proving guidelines.  And  
15     I'm looking at Appendix 11 on -- in that, and it talks about  
16     analysis of efficacy.  And it says efficacy measures do not  
17     apply to proving results in this type of analysis and is  
18     therefore not applicable to provings.  And it goes on.  The  
19     next section says, "Statistical analytical issues, not  
20     applicable."

21           And I'm wondering how if that's the case you  
22     actually determine whether your observations are due -- how  
23     do you determine that your observations are due -- are not  
24     due to just chance?

25           DR. HERSCU:  Oh, okay, I'll take that.  So, first,

1 as we mentioned, provings are -- there's placebo response;  
2 there's placebo separation; we're removing the placebo group  
3 and symptoms that have that. We use symptoms that are  
4 reproducibly found throughout the proving. In other words,  
5 multiple individuals that may or may not know each other but  
6 don't really communicate about the proving itself.

7           There have been numerous trials where the same  
8 proving was redone 50 years later, 100 years later, and  
9 showed the same exact symptoms. There's whole books written  
10 on that. And in the -- in the 1885 discussions on why we  
11 should mask and have placebo arms in clinical trials, they  
12 even gave the example that several individuals not only had  
13 the same symptoms develop but had the same symptoms develop  
14 in exactly the same tempo and the same timing -- different  
15 individuals, male/female, different ages. So, this is part  
16 of the answer, but provings are -- have a lot of science  
17 behind them.

18           MR. CLELAND: Yeah. I'm a little, though -- I  
19 mean, it's one thing to have a placebo and a control, but if  
20 you're not doing a statistical analysis, what difference does  
21 it make?

22           DR. RILEY: Well, there's a couple of things.  
23 First, that statement that you read out of the appendices was  
24 about quantitative statistical analysis. There's -- the four  
25 criteria that are used are not validated qualitative --

1 quantitative -- qualitative assessment tools, but, of course,  
2 you know, things like the PROMIS instrument really isn't  
3 validated either.

4 So, there's different levels of acceptance for  
5 that. So, there is not quantitative statistical analysis of  
6 the results of a proving. That is correct.

7 MR. CLELAND: Any other comments from the panel on  
8 that question?

9 Dr. Hoffman?

10 DR. HOFFMAN: Well, just simply, provings are  
11 really a collection of adverse events that are caused by  
12 these particular ingredients. And then the adverse events  
13 are translated into the target treatment. So, if somebody's  
14 getting nausea from, for example, ipecac at a certain dose,  
15 and it would be very, very reasonable for people to get the  
16 same nausea at the same dose, then to dilute it down to a  
17 homeopathic level under the principles of homeopathy, one  
18 should be able to treat nausea, and that is the principle.  
19 Whether it's backed by a rational basis in science is a  
20 separate issue.

21 MR. CLELAND: David, a couple of times you have  
22 mentioned that provings are qualitative, not quantitative.  
23 What does that mean in the real world?

24 DR. RILEY: It's a collection of information  
25 that's, A, going to be very useful for a professional

1 homeopath to prescribe, and it's probably going to --  
2 somebody who is not trained in homeopathy is probably going  
3 to want to rely on other information, as well. It will not  
4 be sufficient.

5 MR. CLELAND: Is it -- in your view, though, it's  
6 sufficient to prove efficacy? Qualitative evidence alone?

7 DR. RILEY: Well, I tend to -- I tend to parse  
8 efficacy into several different categories. There's  
9 efficacy; there's effectiveness; there's pharmaco-kinetics.  
10 It's going to depend on what the potency is in the proving.  
11 There's lots of things that are going to qualify that. And I  
12 probably would not want to make a statement about that. It  
13 also depends on what kind of ancillary studies and research  
14 has been done on the remedy in question.

15 And several people have said here, and it seemed a  
16 little bit confusing, but most homeopathic drug provings are  
17 done with homeopathic preparations. They're not done with --  
18 like with ipecac, in a homeopathic drug proving, you don't  
19 give full-strength ipecac, and then somebody gets nausea.  
20 That would not be done. You would use a homeopathic  
21 preparation.

22 So, I'm not sure what you mean by "efficacy." I  
23 mean, I tend to use the word "effectiveness," and then  
24 effectiveness by who. And it becomes --it becomes quite  
25 complicated to sort that out.

1 DR. FUGH-BERMAN: I just want to add, in defense of  
2 qualitative research, because I do some qualitative research,  
3 is that qualitative research isn't just bad research; it's --  
4 it -- actually the analysis of qualitative research involves  
5 using academically accepted methods for analyzing material  
6 that you have. And, so, you know, one question here is  
7 whether the methods of analyzing provings are actually  
8 academically accepted methods for qualitative research, but,  
9 you know, more importantly than that, this kind of research  
10 has nothing to do with the therapeutic efficacy of the  
11 product.

12 MR. CLELAND: Dr. Jonas?

13 DR. JONAS: Yeah, I think the definitions here are  
14 really crucial, and I'm glad that Adriane brought up the  
15 point that you can do different types of research, and they  
16 can be good quality. Okay, so, you can have very good  
17 research that is not placebo controlled trials. It's just  
18 being done for a particular purpose.

19 The terms "efficacy," "effectiveness," "safety,"  
20 have been well defined, actually, if you look at the Agency  
21 for Healthcare Policy and Research; they clarify that there  
22 are validity tools for those. Efficacy research primarily  
23 focuses on internal validity, thus the need to try to  
24 separate the particular groups to try to get at causal  
25 assumptions that you're testing. Those are called your

1 hypotheses.

2 Effectiveness research requires external validity,  
3 very different set of criteria. It's what happens when you  
4 stick it out in the real world. It still requires very good  
5 methods. You have to use good external validity methods to  
6 get good effectiveness research. And safety also requires a  
7 different type of an approach, large-scale surveillance  
8 depending upon the frequency and the type of side effects  
9 that are produced.

10 And I think it's very important that we not mix  
11 these things. You could decide which criteria you think are  
12 more important, but that's a value judgment, and it should be  
13 a value judgment that we receive input from those who are  
14 making the decisions, including, as I made a statement, the  
15 public.

16 MR. CLELAND: Thank you.

17 You know, we heard reference in the previous panel  
18 to the law of similars, and my question is why should we  
19 accept that if you give me a substance that causes a runny  
20 nose, that if I catch a cold, a product with this substance  
21 in it will stop my runny nose?

22 David?

23 DR. RILEY: Well, there are some examples in  
24 conventional medicine where we do that. That's one thing.  
25 And there's more than a few examples. There's also the whole



1 principle of hormesis in science where drugs can have an  
2 effect at one concentration and have the opposite effect at a  
3 lower concentration. So, those would be the main things that  
4 I would say would support the law of similars. I don't know;  
5 Wayne may have -- do you --

6 DR. JONAS: Well, I think, you know, if you look at  
7 dose-adaptive responses, which is what the hypothesis is --  
8 the similar hypothesis was trying to get at, and you look at  
9 the basic science research around the adaptive responses,  
10 both in clinical and in basic science research, there's a  
11 huge amount of data in that. Some of it is classified as --  
12 or talked about. Hormesis is in that area, but there are  
13 preconditioning studies or post-conditioning studies that  
14 look at those types of things, and they're all based on this  
15 idea that one can look at a physiological effect and induce  
16 or influence the response in its opposite direction or use it  
17 as a therapeutic or a scientific tool in those areas.

18 Now, is that the explanation for the law of  
19 similars? I don't know. Okay.

20 MR. CLELAND: Well, let me explore this a little  
21 bit, too.

22 DR. JONAS: Yeah, but that was -- that was the  
23 underlying hypothesis that I think the original homeopaths  
24 were trying to get at, or at least that's what they were  
25 claiming.

1 MR. CLELAND: Let's assume that in some  
2 idiosyncratic cases that may be true.

3 DR. JONAS: What may be true?

4 MR. CLELAND: That --

5 DR. JONAS: That dose-adaptive response? That is  
6 true.

7 MR. CLELAND: The law of similars.

8 DR. JONAS: That's not an idiosyncratic case.

9 MR. CLELAND: Well, no, no, no. Well, it's -- no,  
10 you're saying it's universally true across all substances?

11 DR. JONAS: Absolutely. We don't -- well --

12 MR. CLELAND: Every time you give a substance --

13 DR. JONAS: -- the only that -- the only one I've  
14 ever seen --

15 MR. CLELAND: -- that creates --

16 DR. JONAS: -- that doesn't actually produce an  
17 adaptive response, depending upon the dose and the  
18 sensitivity of the organism, is cyanide. There are a few  
19 others, okay, that have fixed responses that will -- actually  
20 don't have reversal, but if you look at just the hormesis  
21 literature, for example, Ed Calabrese from the University of  
22 Massachusetts, for example, huge, huge database across almost  
23 every substance you can name, almost -- almost every kind of  
24 organism that you can name, showing dose-adaptive responses,  
25 which he calls hormesis.

1           MR. CLELAND: What are the -- when you say "dose-  
2           adaptive responses," what are you -- you're talking about  
3           what? Remedy? Am I clearing up my runny nose? Is that a  
4           dose response?

5           DR. JONAS: So, most of this is basic science  
6           research, although there's clinical research. It's not  
7           therapeutic. The hormesis research comes out of  
8           pharmacological and toxicological fields, and only recently  
9           have they begun to look at and try to understand does this  
10          apply in the area of clinical components. So, is it an  
11          explanation for the law of similars? I don't know, okay?  
12          But it is, in fact, a type of pharmacology that could be used  
13          to understand what's going on in lose-dose effects.

14          DR. HERSCU: Can I just jump in?

15          MR. CLELAND: Yes, Paul, go ahead.

16          DR. HERSCU: So, first on the hormesis, Dr.  
17          Calabrese actually lives in my town, so I have a chance to  
18          have lunch with him. He's not a homeopath; he doesn't know  
19          much about homeopathy. What he does know is in his doctorate  
20          studies, and then for many, many years after that, he noticed  
21          that when he gave -- when he either gave a substance or  
22          exposed a plant to a substance it had a certain effect on it,  
23          but when he changed the dosage on it, meaning he made an  
24          ultra-dilute dose of that substance, the effect on the plant  
25          was exactly opposite.

1           So, back to your nose, your runny nose, the closest  
2    thing -- the closest and easiest way to describe this is if  
3    we look at the -- at the group here, there's a certain number  
4    of people that had allergy shots to -- something to some tree  
5    that they were allergic to and so on. That allergy shot is a  
6    very minute dose of the substance that they're allergic to,  
7    that causes that runny nose. That's isopathic medicine.  
8    That's using the same substance, regardless of the symptoms.  
9    Homeopathy-based is the cousin of isopathic medicine where we  
10   use substances that cause similar symptoms, rather than the  
11   same substance.

12           But everybody here that has had allergy testing and  
13   allergy shots has had an experience akin to homeopathy, in  
14   minute dose.

15           MR. CLELAND: Dr. Berman?

16           DR. FUGH-BERMAN: Just that for most drugs -- in  
17   drug testing, we're often looking for a dose-response curve,  
18   meaning that the higher the dose the more of a response you  
19   get. Now, there certainly are -- there certainly are drugs  
20   for which you get different effects at low doses and high  
21   doses. So, a classic example is estrogen, for example, which  
22   at low doses can cause growth of breast cancer cells but at  
23   very high doses will suppress the growth of those cells.

24           However, when we're testing drugs, we're looking  
25   for a dose-response -- we're looking for a dose-response

1 curve. And I don't think that it's true that for most drugs  
2 you get an opposite effect at low and high doses.

3 DR. RILEY: No, this is actually true, and it's  
4 been looked at. Usually what happens is that they don't look  
5 at the lower doses. They assume a linear effect down to  
6 the low doses, and that they assume then it dissipates out,  
7 and it goes away. But the vast majority of drugs in which  
8 this has been looked at -- okay, it's not looked at in most  
9 drugs -- you see an upturn right at the bottom of the dose-  
10 response. It's nonlinear. And that's pretty well  
11 established.

12 DR. HOFFMAN: Let me just state that there are lots  
13 of examples of, say, anti-cancer drugs where at the high end,  
14 of course, it's going to kill cancer cells or stop them, but  
15 at the low end it does stimulate the immune system. This is  
16 also true of biologic response modifiers, such as the  
17 interleukins, gamma interferon, et cetera.

18 I think the difference that we're talking about  
19 here, though, between homeopathy and these other products is  
20 really data. And I think if the homeopathic community can  
21 demonstrate in reasonable scientific experiments that this is  
22 the case, then that should be claimed. If they cannot, then  
23 it should be discarded, as simple as that.

24 MR. CLELAND: Okay, I'm going to move on to a  
25 slightly different subject. It's my understanding that

1 provings are generally conducted on individual ingredients.  
2 And many of the OTC homeopathic products contain combination  
3 ,ingredients and, in fact, some of the products that we  
4 looked at in our internet survey contained 14 or 15 different  
5 homeopathic ingredients in them. In your view, David, are  
6 the provings of individual ingredients of any scientific  
7 value when it comes to these combination products?

8 DR. RILEY: Well, I would say most homeopathic drug  
9 provings are conducted on individual ingredients. I'm not  
10 sure of ones that have been conducted on homeopathic drug  
11 provings, although -- on combination products, but it's not a  
12 regulatory requirement that homeopathic drug provings be  
13 limited to individual ingredients.

14 MR. CLELAND: No, I'm talking about from a  
15 scientific view. I'm not talking about the regulatory  
16 approach. From your point -- your view as a scientist, can  
17 you make that extrapolation from all these -- the results of  
18 the provings on these individual ingredients when you put  
19 them all into a bunch and pile them on the table?

20 DR. RILEY: Well, it would be nice to have -- it  
21 would be nice to have additional data there for a combination  
22 product.

23 MR. CLELAND: What about when these products are  
24 combined with dietary supplements that are then referred to  
25 as inactive ingredients?

1 DR. RILEY: Well, that's an illegal -- that's  
2 illegal to do.

3 MR. CLELAND: When they're inactive ingredients?  
4 Listed as inactive ingredients?

5 DR. RILEY: Well, that would be mislabeling, then.  
6 Yeah, so, that would be not --

7 MR. CLELAND: But it's not --

8 DR. RILEY: It may be done.

9 MR. CLELAND: Yeah, it wouldn't be scientifically  
10 -- again, we're not talking about the regulatory position.  
11 I'm talking about your position.

12 DR. RILEY: No, I would say it would be  
13 scientifically indefensible to do that.

14 MR. CLELAND: Thank you.

15 DR. HERSCU: But can I just jump in?

16 MR. CLELAND: Yes.

17 DR. HERSCU: So, FDA CPG Section 400.400 defines --  
18 definition two states drug products containing homeopathic  
19 ingredients in combination with nonhomeopathic active  
20 ingredients are not homeopathic drug products. It goes on  
21 and so on. The homeopathic community, the HPCUS, has again  
22 and again taken that position that we -- these objects, these  
23 tablets, should not be called homeopathic.

24 As Duffy mentioned in the earlier panel, he has to  
25 deal with repercussions of things that are not in his domain.

1 It's the same with these products. They really don't belong  
2 in our domain. This is not -- we welcome FDA in consultation  
3 with HPCUS to deal with these issues.

4 MR. CLELAND: Okay. So, my next question is is  
5 there a valid scientific reason why efficacy or effectiveness  
6 claims, however you want to phrase them, for OTC homeopathic  
7 products cannot be tested using human clinical trials. Dr.  
8 Hoffman?

9 DR. HOFFMAN: No, only a short statement. There's  
10 a difference, and I think one of the things I want to bring  
11 up is there's a difference between showing how homeopathy  
12 works. Clinical practice guidelines are really to direct  
13 doctors how to practice medicine, and it's based on available  
14 data. And it's based on a range of different types of  
15 available data, which can be graded.

16 It's a very different proposition to show that a  
17 product is efficacious for a claim, a labeled claim, a claim  
18 of efficacy, a claim of safety, a specific claim. And I  
19 think that is where people are sort of getting off track  
20 here, is that when it comes down to showing that something  
21 works, you have a hypothesis; you have objectives; you  
22 collect data; you analyze the data. And that systematic  
23 approach is what the scientific community has accepted for a  
24 century or so. And it translates into being able to say,  
25 yes, it does work; no, it doesn't work, separate from



1       treating patients.

2                 So, I think that -- the statement there is I cannot  
3       envision a case, and unless you're telling me that these  
4       products do not conform to the laws of physics and chemistry,  
5       then obviously they might not work, but otherwise, you should  
6       be able to control in some fashion. The acupuncture needles,  
7       for example, I was involved in that at the FDA; the agency  
8       had called for trials. There were more than 20 -- I think  
9       20,000 trials that the agency received. But in looking at  
10      the quality of the trials and what could be used to actually  
11      say that the acupuncture needles did something was boiled  
12      down to about 20 trials in certain areas. It was that much  
13      of stuff out there, but the actual quality of the trials was  
14      very, very few to actually support the efficacy.

15                Now, the other thing is I deal with TCM all the  
16      time; I deal with Ayurveda. When the products come through,  
17      we're not trying to test Ayurveda. We're not trying to test  
18      whether Chinese medicine works. I'm pretty agnostic about  
19      that. But when we're trying to get a product to be able to  
20      conform to the U.S. medical system of finding out whether  
21      it's safe and efficacious and lot-to-lot consistent, yes, it  
22      has to conform to scientific methods. And the randomized  
23      controlled trial is really the gold standard at this time for  
24      that particular objective.

25                DR. FUGH-BERMAN: And I just want to add to that

1 that -- to clarify something about efficacy and  
2 effectiveness. So, efficacy is how a therapy works within a  
3 clinical trial, where things are quite controlled. And  
4 effectiveness is how it works in general population. And  
5 it's usually a lot lower. Effectiveness is lower than  
6 efficacy.

7           So, for example, the birth control pill. In  
8 clinical trials, it's more than 99 percent effective. In  
9 effectiveness trials, it's more like 95, 96 percent effective  
10 because people don't necessarily take it the way they're  
11 supposed to; they don't take it every day; or, you know, they  
12 might be late with pills or whatever. So, sometimes if we  
13 test something in a clinical trial, and it has to be taken  
14 five times a day, for example, once that gets into the --  
15 once we do an effectiveness trial, it's less effective  
16 because it's difficult for people to take a drug five times a  
17 day, and the people who are in clinical trials are different  
18 than the general population.

19           So, effectiveness research is not observational  
20 studies. And observational studies can never prove benefit.  
21 Benefit can only be shown in randomized controlled trials.

22           DR. HOFFMAN: I'd like to add just one more quick  
23 thing. Having dealt with hyperalimentation, which is this  
24 complex solution of nutrients, which is given intravenously  
25 to patients, the mainstream cancer surgeons of the United

1 States for years thought that, gee, it works in surgical  
2 patients; it should work equally well in cancer patients.  
3 But it wasn't until randomized controlled trials were  
4 supported by the National Cancer Institute back in -- I hate  
5 to say back in the late '70s and early '80s, that  
6 demonstrated that hyperalimentation actually did not help  
7 most cancer patients but actually they died faster. And yet  
8 the surgeons, up until that point, were gung ho in feeling  
9 that what they were doing was reasonable.

10 So, I think there's a very important factor of  
11 trying to demonstrate whether something works or not in a  
12 very defined context.

13 MR. CLELAND: Dr. Jonas?

14 DR. JONAS: Yes. I definitely agree with Dr.  
15 Hoffman that we need to use clear, good, existing methods to  
16 test these products, especially if we're claiming that a  
17 particular product is producing a particular effect. That is  
18 efficacy training. If we know what's in the product, and we  
19 can isolate it, we have a hypothesis about that, that all  
20 goes into determining how you actually design the study, but  
21 it basically is the same thing.

22 I have to disagree again with Dr. Fugh-Berman that  
23 you cannot use effectiveness to determine benefit. In fact,  
24 effectiveness is an important way -- important type of  
25 information for determining benefit. And the benefit depends

1 upon who's making the decisions about it for which things.  
2 So, you have to really do comprehensive assessment of all of  
3 the evidence, including the product component randomized  
4 controlled trials, as well as its application to determine  
5 benefit of those particular areas.

6 DR. FUGH-BERMAN: I didn't say anything --

7 DR. JONAS: I think the --

8 DR. FUGH-BERMAN: -- against effectiveness  
9 research. What I said was that observational studies cannot  
10 show benefit. Are you saying that observational studies can  
11 show benefit?

12 DR. JONAS: They show benefit all the time.  
13 Surgical research does it all the time. Psychotherapy  
14 research does it all the time.

15 DR. FUGH-BERMAN: It's not an acceptable standard.

16 DR. HOFFMAN: It really isn't, and --

17 DR. JONAS: Okay, so, you don't believe that --

18 DR. HOFFMAN: -- I think that that's going --

19 DR. JONAS: -- back surgery should be allowed, is  
20 that right?

21 DR. HOFFMAN: There's a lot of issues with how  
22 surgeons do trial --

23 DR. FUGH-BERMAN: Yeah, really.

24 MR. CLELAND: Well, let's not go there.

25 DR. FUGH-BERMAN: There are very few sham-

1 controlled --

2 DR. JONAS: But this is the essence of the  
3 comparative component, because if we're saying we need to use  
4 good quality research standards that are the state of the  
5 science in terms of what's used, then we have to say what is  
6 the state of the science as what's used and do that in an  
7 appropriate, comparative way.

8 MR. CLELAND: Well, let me put you on the spot,  
9 then, and ask you whether or not in your opinion provings  
10 alone are adequate to substantiate treatment claims for OTC  
11 homeopathic drugs.

12 DR. JONAS: No. They're completely different. A  
13 proving test and an efficacy test are a completely different  
14 type of study.

15 DR. RILEY: I would concur with his statement.

16 DR. HERSCU: But just to fill in -- just can I jump  
17 in?

18 MR. CLELAND: Yeah, oh, absolutely.

19 DR. HERSCU: So, first of all, many OTC  
20 conventional drugs have not been held to RTC method. There's  
21 this review process under way for the past 40 years. I think  
22 it's useful to think of homeopathic products as the past and  
23 the future. The vast majority of homeopathic drugs currently  
24 in use in OTC in the United States have a large body of  
25 clinical data. When I think our colleagues said provings are

1 not enough, they meant there's a lot of data already  
2 collected supporting the primary indications for each of the  
3 medicines. Medicines have a high amount of documentation.  
4 All of this can be read and found in the pharmacopoeia of the  
5 HPUS.

6 That said, and I've been waiting 30 years to say  
7 this, so --

8 MR. CLELAND: Okay.

9 DR. HERSCU: -- while randomized controlled trials  
10 have propelled science forward and propelled medicine  
11 forward, it is, in a sense -- and this is not a homeopathy  
12 comment; it is a scientific comment -- it is a blunt, vague  
13 instrument. It does not correspond to reality very well.  
14 Simply put, it fits within the model of the 1950s and the  
15 1970s, not in the medicine of 2015.

16 In 20, 30 years, that might change. I can give you  
17 multiple examples, but just take the fact that currently to  
18 get marketing approval for FDA you'll have to do two phase  
19 three successful trials. Even if the drug trial failed two,  
20 three, four, seven times, once you get your second one, you  
21 might be able to get marketing approval. Look at Prozac as  
22 an example.

23 Randomized controlled trials typically have a wide  
24 bell curve of distribution of effect. We believe that's just  
25 the way it is. Drugs might have a small effect size,

1 receiving approval, and yet in reality many people will not  
2 have any benefit or have adverse events. So, when we're  
3 talking about effectiveness, we actually mean effectiveness  
4 rather than efficacy where efficacy is quite useful to pass a  
5 drug through marketing approval. It may not conform to  
6 reality.

7 I can give you many examples on the homeopathy  
8 side, but I also work in the pharmaceutical industry, and I  
9 can give you examples there. We can see what I mean  
10 specifically. There are -- there are -- there is this -- you  
11 know, we're using randomized controlled trials as if it's a  
12 done deal, and it's perfect and so on.

13 It's far from perfect. It is continuously changing  
14 in skill and ability, and the closest thing to what might be  
15 heading as good clinical trials is adaptive trials, which  
16 eventually will lead us to where homeopaths have been doing  
17 provings for many, many years. I'd love questions on this  
18 because I could talk about this all day. So, if anybody  
19 wants to pass questions along, that would be great.

20 DR. WILLIAMSON: I would make a comment that we --  
21 I believe that clinical trials -- random and clinical trials  
22 have changed quite a bit --

23 DR. HERSCU: Absolutely.

24 DR. WILLIAMSON: -- in the past 65 years.

25 DR. HERSCU: Absolutely.

1 DR. WILLIAMSON: I wasn't alive to know what was  
2 going on 65 years ago, but I can guarantee you it has  
3 changed, and it's changed for the better. We have very  
4 strenuous regulations associated with this. This is why when  
5 you compare a 2015 study to a 1995 study the rigor is  
6 different, quite different, than it was 20 years ago. And,  
7 certainly, when you are comparing anecdotal evidence to the  
8 1800s, it is quite different than the strenuous research that  
9 we require today.

10 DR. HOFFMAN: Yeah, the observational studies go  
11 back to the 19th Century. You might as well just move back  
12 there, because that's what they were using.

13 DR. JONAS: That applies, though, to any type of  
14 study you're doing. There's been significant improvement in  
15 the methodology that's gone on in -- in everywhere, you know,  
16 basic science research, observational, and epidemiological  
17 studies, comparative effectiveness research, randomized  
18 controlled trials.

19 DR. WILLIAMSON: I believe in medical research,  
20 though, I would not say that there's been very little  
21 significant or infinitesimal advancement in science. Medical  
22 research has advanced quite a bit in just a few years, and if  
23 you think about what was going on just five years ago and how  
24 different things are, I think that becomes quite obvious.  
25 And, again, that is based on very rigorous research done on



1 the standards that we have today in 2015.

2 DR. JONAS: I think that's absolutely right, and  
3 you have to look at the quality of the research when you're  
4 analyzing this, you know, in order to determine that,  
5 regardless of when it was done. I think this is one of the  
6 problems with the Australian study, is that they set a  
7 certain set of lines, and they actually didn't go back and  
8 individually evaluate the quality of the research that they  
9 bundled or selected in those areas. So, that type of thing  
10 has to be done.

11 DR. FUGH-BERMAN: But the answer to problems with  
12 random -- yeah, there are some problems with randomized  
13 controlled trials, but the answer is not to go to a lower  
14 level of evidence. And, you know, to paraphrase the famous  
15 quote about democracy, yeah, randomized controlled trials are  
16 the worst way to assess efficacy except for everything else.

17 DR. HOFFMAN: The other thing I just want to bring  
18 in --

19 DR. JONAS: They're a bad way to assess  
20 effectiveness. And safety.

21 DR. FUGH-BERMAN: Effectiveness research can be  
22 randomized and controlled, and it usually is. Do not confuse  
23 effectiveness research with observational studies.

24 DR. JONAS: That's correct. It's not placebo.

25 MR. CLELAND: Okay, Dr. Hoffman.

1 DR. HOFFMAN: There's one other issue about trials,  
2 though, with homeopathy and with other complex products, is  
3 that they're complex. And the biggest question that I've  
4 always had in this area, one can impose a very clean-cut  
5 trial. It can be methodologically correct. However, there's  
6 been no standard, no standard set by FDA, in terms of  
7 determining what's in the bottle. And lot-to-lot  
8 differences, batch-to-batch differences are very key, in  
9 particular for botanicals and complex products.

10 You may not get the same answer. I think the NIH's  
11 studies didn't get the same answer by switching  
12 manufacturers. So, I think it's extremely important that  
13 when FTC looks at this that they look at it product by  
14 product, that the manufacturer who is bringing in the claim  
15 or the data, it needs to match up. It can't be someone  
16 else's product. It can't be just on the individual  
17 ingredients, not on the whole. And this is -- and these are  
18 important concepts with complex products.

19 MR. CLELAND: Okay. We have just a few more  
20 minutes, and I'm going to move on to a couple of questions  
21 here left on my list. You know, one of the factors that the  
22 FTC considers when it determines a level of substantiation  
23 required for a claim is what experts in the relevant field  
24 would generally require.

25 Dr. Hoffman, in the case of homeopathic drugs,

1 should the relevant field be limited to homeopathic experts?

2 DR. HOFFMAN: I would say no, but the thing is  
3 this, homeopaths, if they have expertise in the claim that  
4 they're trying to evaluate, fine, but I think, for example,  
5 if someone is making a sinus claim, if someone is making a  
6 headache claim, the most important part of that is to be  
7 using the standard approaches for the United States.

8 There are validated instruments to determine pain,  
9 for example, or how people feel following sinus medications.  
10 So, I think it's extremely important that it's a case-by-case  
11 what that individual brings to the table in terms of their  
12 own expertise. If it's ENT, if it's a neurology, if it's  
13 urology, I think it's very important that that person be  
14 properly trained in the scientific method and in the current  
15 trial designs that people are using for all other products  
16 making similar claims.

17 MR. CLELAND: Any other --

18 DR. FUGH-BERMAN: I would add that, you know,  
19 ideally studies would -- whether they're of homeopathy or  
20 drugs or surgery or whatever, would be done by people who are  
21 well trained in doing clinical trials and who do not care  
22 about the result.

23 DR. HOFFMAN: Yep.

24 DR. JONAS: Well, then, nobody would do them.

25 (Laughter.)

1 DR. HOFFMAN: Well, there has to be -- I mean,  
2 conflict of interest is a big deal, and bias.

3 DR. JONAS: Yes you have to manage conflict and  
4 bias. There's no question about it, which is, you know, why  
5 we try to do rigorous research, placebo controls, et cetera,  
6 et cetera. I think it's absolutely right, as well as product  
7 consistency, product --

8 DR. HOFFMAN: Yep.

9 DR. JONAS: -- measurement in terms of that. I  
10 mean, we were doing -- I'll give you an example. You have to  
11 have a way of trying to say this is the product and it's the  
12 same thing over and over again in those areas. We worked  
13 with the NCI to try to replicate a pilot study for a  
14 homeopathic product for mucositis in a large, multi-center  
15 randomized controlled trial. And we needed a way of  
16 determining that particular -- what was in that particular  
17 product. It ended up we had to develop an enzyme assay in  
18 order to distinguish between those so that we could determine  
19 that there was a quality component before we could even put  
20 it into a placebo control trial.

21 DR. HOFFMAN: Let me also just quickly add the size  
22 of trials are very important. The average drug is approved  
23 on no less than about 700 people, generally more. It depends  
24 if it's an orphan indication, of course, and things like  
25 this. But I think the size of the trials -- a lot of the

1 trials that are used, I have to say, for dietary supplements  
2 and for foods can be very small trials, not for health  
3 claims, but for structure/function claims. But for drugs in  
4 the United States, mainstream drugs really have to prove  
5 several hundred people in these pivotal studies. And the  
6 size of the trial is really -- yeah, and thousands of people  
7 in some case. There was a -- one of the major pharmaceutical  
8 companies just lost a phase three trial; it did not work out  
9 -- 16,000 people. It depends on the indication. But I think  
10 it's important that the size of the trial match the claim.  
11 That's the most important --

12 MR. CLELAND: Yeah, and I'm going to throw in a  
13 comment there. I think your reference to FDA, and I just  
14 want to point out that I think the FTC has a whole lot more  
15 flexibility when it comes to evaluating, you know, how many  
16 people need to be in a trial and how many trials you need,  
17 so, you know, we have a very flexible standard when it comes  
18 to evaluating.

19 DR. HOFFMAN: But they have to be reproducible.

20 MR. CLELAND: Yes, absolutely. They have to -- and  
21 it's even better -- they not only should be reproducible, I  
22 like it when they're actually -- been reproduced.

23 DR. HOFFMAN: Sounds good.

24 MR. CLELAND: So, I have a little more confidence  
25 in the results. I have one last question and one minute.

1 And, so, I'm going to ask this. Rik, you get this question;  
2 it was yours, and if you have -- to the extent that you  
3 didn't address it in your opening statement, what are the  
4 primary differences in product quality between FDA-approved  
5 drugs and homeopathic products prepared per the HPUS? I  
6 think you touched on that in your opening statement.

7 DR. LOSTRITTO: I did, thank you. And I'll try to  
8 go a little bit in direction with it and in one minute. So,  
9 there's a number of substantive quality differences between  
10 allopathic products and homeopathic products, but I'll touch  
11 on just three: one in the area of raw materials; a second in  
12 the area of manufacturing process; and a third in the area of  
13 end-product testing.

14 In the area of raw materials, I think an area  
15 particularly of interest would be to quality control of  
16 mother tinctures and triturates. So, right now, when you  
17 read the HPUS, there is not a lot of testing for the  
18 consistency of composition, say of the active constituents  
19 from a plant.

20 We know that some of the plants that are used  
21 nowadays may be endangered species, that other factors --  
22 other species may be used as substitutes, that depending upon  
23 the climate, the altitude, the amount of sunshine, et cetera,  
24 the ratio of active constituents can vary. I think there  
25 should be some consideration for testing, for shelf life and

1 storage and so forth of these mother tinctures and  
2 triturates.

3 In the area of manufacturing process, you know, we  
4 read about the dilution and attenuation and succession  
5 process. It appears to be largely based on a common-sense  
6 approach but untested. Testing of intermediate dilutions  
7 to validate the final attenuation, which you may not be  
8 always -- always be able to measure, but testing at  
9 intermediate dilutions allows one to at least partially  
10 validate the dilution method.

11 And, also, if you read about various succussion  
12 approaches, which we use to shake up, potentize, the  
13 preparation, there's a number of various approaches there.  
14 So, I think there would be some interest in that  
15 manufacturing process approach that homeopathic products are  
16 unique to.

17 In the area of end-product testing, it would be  
18 very interesting to show that at high attenuations that there  
19 actually is a lack of the active principal that you diluted  
20 away. Again, I pointed out some anomalies that could take  
21 place during the dilution process. And, certainly, sterility  
22 for those products that are named -- labeled to be sterile,  
23 and also you'd want to avoid contamination from other things  
24 associated with the product besides the active material,  
25 excipients, container closures, and so on.

1           So, that's just the three top ones I could think  
2 of.

3           MR. CLELAND: Paul, you indicated -- did you want  
4 to respond to that, any of that?

5           DR. HERSCU: Well, I would jump in a little bit. I  
6 think a lot of these questions are best served by a meeting  
7 with the HPCUS, the organization that actually deals with  
8 creating the pharmacopoeia. And I'm sure they would be a  
9 willing partner to discuss any of these points. For example,  
10 before all the different standards of deciding on the  
11 different levels of a plant at different times of the year,  
12 that was already included in the pharmacopoeia, so when you  
13 pick any plant, it says, you know, grown at this time of the  
14 year, at this time, this -- and so on. So, there are  
15 actually methods. But that said, questions which are  
16 important questions are best served by asking and interacting  
17 with HPCUS.

18           MR. CLELAND: Okay, thank you, Paul. And that is  
19 all the time we have. And I want to thank all my panelists  
20 for a great discussion. And we'll be back starting at 1:35.

21           (Applause.)

22           (Lunch recess.)

23

24

25



1           PANEL 3: LEGAL/REGULATORY ISSUES PRESENTED BY  
2                           HOMEOPATHIC ADVERTISING

3           MR. FORTSCH: Good afternoon. We're going to move  
4 into the final panel of the workshop today. And I want to  
5 introduce myself, who is moderating this panel. And you've  
6 met me already, and that's all I'm going to say about myself.

7           (Laughter.)

8           MR. FORTSCH: So, the more important part of the  
9 panel is who's on it and the graciousness that they've all  
10 agreed to come today and speak on the panel. And I'll first  
11 introduce, directly to my left, Michelle Rusk, who is a  
12 colleague in the Division of Advertising Practices; and then  
13 Christina Guerola Sarchio from Orrick, Herrington &  
14 Sutcliffe; and continuing on to my left, David Spangler from  
15 the Consumer Healthcare Products Association; Antonio Vozzolo  
16 from Faruqi and Faruqi -- I hope I pronounced that right; and  
17 then going all the way to my far right, Kat Dunnigan from the  
18 National Advertising Division, and you heard a bit about the  
19 NAD, as it's known, in an earlier panel; Elaine Lippmann from  
20 the FDA; Al Lorman from the Law Office of Alvin J. Lorman;  
21 and Paul Rubin from Ropes & Gray.

22           So, we are going to follow the same structure that  
23 we followed in the other two panels, where we're going to ask  
24 each of the panelists -- and as you can see, we have a larger  
25 group than the other two panels -- so we're going to strictly

1 enforce the five-minute-or-less rule, but I think everyone is  
2 very well versed on that one. We're going to ask everybody  
3 to give a five-minute-or-less opening remarks, and then we'll  
4 do a number of questions. If we have time, we'll take  
5 questions from the audience, but I'm going to start with my  
6 colleague, Michelle Rusk.

7 MS. RUSK: Thanks, Greg. Okay, so, today, now that  
8 everybody's awake, I'm going to touch quickly on the FTC's  
9 shared jurisdiction with FDA, how we coordinate, but also how  
10 our legal frameworks differ in some important respects. And  
11 then I'm going to explain what exactly the FTC law requires  
12 in the way of scientific support for claims, not just for  
13 homeopathic products but for any health-related product.

14 We do share jurisdiction with the FDA over  
15 homeopathic, allopathic OTC drugs, foods, supplements, and  
16 certain other health products, and that means we need to  
17 coordinate our enforcement efforts, so we have in place a  
18 memorandum of understanding that spells out that FDA has  
19 primary responsibility over claims made in labeling and the  
20 FTC takes the lead on claims in advertising and other  
21 marketing.

22 Now, we do make every effort to be consistent in  
23 our actions, but there are some important differences in our  
24 legal frameworks. The FTC is primarily a law enforcement  
25 agency, not a regulatory agency. And by that I mean we don't

1 engage in pre-market approval. The law requires an  
2 advertiser to substantiate advertising claims before they're  
3 made, but they don't have to submit ads to us in advance and  
4 we don't preapprove their claims, nor do we dictate how  
5 claims are worded or what specific disclosures are required.

6 Also, the FTC law makes no distinction between  
7 product categories. It doesn't matter under our approach  
8 whether you position yourself -- your product as a food, a  
9 supplement, a drug. You will be held to the same  
10 substantiation standard for the claims that you make, and  
11 there's no exemption under FTC law or policy for products in  
12 the homeopathic pharmacopoeia.

13 Finally, the FTC doesn't make bright-line  
14 distinctions between disease claims, health claims,  
15 structure/function claims, or other treatment claims. So,  
16 for every claim, we're asking the same questions: What  
17 message does the ad communicate to consumers? Is it truthful  
18 and accurate? Is it backed by science?

19 Our authority for the approach comes from two very  
20 simple sections of the FTC Act, not a lot of regulations you  
21 need to be familiar with. Section 5, which applies to both  
22 advertising and labeling, prohibits unfair or deceptive acts  
23 or practices in commerce. So, Section 5 would prohibit  
24 deceptive marketing of homeopathic or any other product or  
25 service marketed to consumers.

1           Section 12 applies to products that are also  
2 regulated by the FDA, like foods, drugs, supplements,  
3 devices, and it prohibits dissemination of false ads for  
4 these products. But under both of these sections a marketer  
5 has to have a reasonable basis for any objective claim that  
6 they make about their product. And the FTC's made it clear  
7 in case law and warning letters and policy statements and  
8 guidelines that when you're talking about the benefits of a  
9 health-related product the reasonable basis standard means  
10 that you have to have competent and reliable scientific  
11 evidence.

12           So, in my last minute, I want to make a few points  
13 about what exactly the FTC means when we say "competent and  
14 reliable scientific evidence." I have two minutes,  
15 apparently.

16           Most importantly, we expect to see rigorous  
17 science. As Rich mentioned, there is some flexibility in the  
18 number and type of studies, the size, the duration, but as a  
19 general rule for treatment claims, we expect randomized,  
20 double-blind, placebo-controlled human clinical studies, not  
21 in vitro studies, not animal studies, not anecdotal evidence,  
22 no matter how compelling it is.

23           Second, we expect the studies to be internally  
24 valid. That means well designed, reliably conducted, using  
25 procedures accepted in the field of research. It also means

1 that results are not just statistically significant but also  
2 strong enough to be clinically meaningful.

3 Third, the evidence has to match the product and  
4 the specific claim. Is it the same active ingredient, in the  
5 same form and dose? Are there other ingredients in the  
6 product that could alter the effect? Does the degree and  
7 nature of the effect match the claim? So, for instance, a  
8 study that shows a product might shorten the duration of a  
9 cold for a day or two is not support for a claim of cold  
10 prevention.

11 And my final point, the FTC will look at a  
12 marketer's studies in the context of the larger body of  
13 evidence, not just in isolation. We ask how does it fit and  
14 is it consistent with the scientific literature as a whole.  
15 If the larger body of evidence suggests a product may not be  
16 effective, then that's going to be a very high hurdle for an  
17 advertiser to overcome.

18 So, that's our analysis. Whether you're  
19 advertising a homeopathic drug, an allopathic supplement or  
20 something else, do you have one or more quality studies?  
21 Does the evidence match the product and the claim? And how  
22 does it fit into the science as a whole?

23 MR. FORTSCH: Thank you, Michelle.

24 I'm now going to turn to Elaine Lippmann to speak  
25 about the FDA.

1 MS. LIPPMAN: Good afternoon. I'm Elaine Lippmann.  
2 I'm in the Office of Regulatory Policy at the FDA. FDA is  
3 pleased to be participating in the FTC's workshop to examine  
4 advertising of over-the-counter homeopathic products. The  
5 two agencies share an interest in drug products marketed as  
6 homeopathic. While the statutes and regulations we enforce  
7 differ, both agencies share the goal of implementing policies  
8 that are in the best interest of the public. We, therefore,  
9 welcome the opportunity to add our perspective to the FTC's  
10 exploration of the issues under its purview, as well as to  
11 hear from the FTC and others.

12 Products that meet the definition of drug under the  
13 Food, Drug and Cosmetic Act are subject to regulation by the  
14 FDA, regardless of whether they are labeled as homeopathic.  
15 Since 1988, prescription and nonprescription drug products  
16 labeled as homeopathic have been manufactured and distributed  
17 without FDA approval under our stated enforcement policies.

18 FDA is now evaluating its current enforcement  
19 policies from scientific, risk, and process perspectives. In  
20 April of this year, FDA began soliciting opinions about  
21 whether and how to adjust the current enforcement policies to  
22 reflect changes in the homeopathic product marketplace over  
23 the last approximately 25 years. FDA is, therefore, engaged  
24 in its own reexamination of its regulatory approach to  
25 homeopathic drug products at the same time that the FTC is

1 examining issues relating to the advertising of these  
2 products.

3 Compliance policy guides explain FDA's policies on  
4 regulatory issues related to our laws or regulations. They  
5 also provide guidance to FDA's compliance staff and field  
6 investigators on the agency's standards and procedures to be  
7 applied when determining industry compliance. In 1988, FDA  
8 issued its compliance policy guide, or CPG, entitled  
9 Conditions under which Homeopathic Drugs may be Marketed.  
10 This CPG states that the FDA does not intend to take  
11 enforcement action against drug products labeled as  
12 homeopathic and marketed without pre-market review and  
13 approval, provided that certain conditions are met regarding  
14 ingredients, labeling, prescription status, and current good  
15 manufacturing practice.

16 The homeopathic drug industry has continued on an  
17 upward growth trajectory since FDA issued the CPG in 1988,  
18 especially with respect to OTC drug products labeled as  
19 homeopathic. The CPG noted that at the time of original  
20 publication in 1988, the homeopathic drug market was a  
21 multimillion-dollar industry in the United States. In 2007,  
22 the National Health Interview Survey conducted by the Centers  
23 for Disease Control and Prevention estimated that adults  
24 spent about \$2.9 billion on the purchase of homeopathic  
25 medicine.

1           Drug products labeled as homeopathic are marketed  
2           and sold in a variety of dosage levels and forms direct to  
3           consumers through magazines, the internet, and in both big-  
4           box retail establishments like Target and Walmart, and  
5           traditional retail pharmacies like CVS and Walgreens. To  
6           date, manufacturers have listed with the FDA over 7,000 OTC  
7           drug products marketed as unapproved homeopathics.

8           In light of the growth of the industry and the  
9           passage of approximately 25 years since the CPG's issuance,  
10          FDA is evaluating its regulatory framework for these  
11          products. This past April, FDA held a public hearing to  
12          obtain information and comments from stakeholders about the  
13          current use of homeopathic drug products, as well as the  
14          agency's regulatory framework for these products. FDA is  
15          seeking broad public input on the current enforcement  
16          policies related to drug products labeled as homeopathic in  
17          an effort to better promote and protect the public health.

18          On August 21st, the FTC submitted a comment to our  
19          docket. In it, FTC recommends that FDA reconsider its  
20          current regulatory approach to OTC products labeled as  
21          homeopathic. FTC states its concern that our policies  
22          conflict with the Commission's advertising substantiation  
23          policy in ways that may harm consumers and create confusion  
24          for advertisers.

25          FDA will consider FTC's comment, along with other



1 comments submitted to our docket, as we determine whether and  
2 how to adjust our regulatory approach to products labeled as  
3 homeopathic with the goal of protecting and promoting the  
4 public health.

5 MR. FORTSCH: Thank you, Elaine.

6 I'm now going to turn it over to Al Lorman.

7 MR. LORMAN: Thank you. Good afternoon. I  
8 appreciate this opportunity to speak to you today on behalf  
9 of my client, the American Association of Homeopathic  
10 Pharmacists. The issues that the FTC staff have asked this  
11 group to address are both important and complex. In the five  
12 minutes that each of us have been allotted, I'm going to  
13 focus on the use of disclaimers in labeling and advertising.

14 First, however, I'd like to make one brief point,  
15 though, about a key legal assertion by the FTC staff. I  
16 believe that the FDA Compliance Policy Guide reflects FDA's  
17 recognition that Congress may well have adopted a different  
18 standard of effectiveness for homeopathic drugs. Whether  
19 that same standard also applies under the Federal Trade  
20 Commission Act is a legal issue which has never been decided  
21 by either the Commission nor a court.

22 Even were the FDA to revoke or revise the  
23 Compliance Policy Guide, as suggested by the FTC staff in its  
24 comments to FDA, that does not actually change the legal  
25 status of homeopathic drugs under the Food and Drug Act. The

1 FDA would still have to take some sort of legal action to  
2 establish that these drugs are not legal. In fact, since the  
3 drug amendments of 1962 were passed, the amendments, which  
4 added the effectiveness requirement to the statute, FDA has  
5 been in the process of reviewing both prescription and over-  
6 the-counter drugs to determine their compliance with the  
7 effectiveness standard.

8           There are still hundreds of OTC and Rx, allopathic  
9 drugs, for which FDA has not made a final determination as to  
10 safety and effectiveness. As a legal matter, homeopathic  
11 drugs are in no different position, and if we have to take  
12 our place in the line of FDA's review of drugs under the '62  
13 amendments, I suspect they may reach it in the next century.

14           However, my main point today is that the AAHP  
15 believes that there is actually an appropriate path forward  
16 that not only gives consumers additional purchase information  
17 but also satisfies the FTC's claimed legal standard. We  
18 would much rather cooperate than litigate.

19           The AAHP adopted a voluntary advertising and  
20 labeling disclaimer program in 2012. That disclaimer was  
21 based on the one adopted by Congress in DSHEA for diet  
22 supplements which made structure/function claims. Between  
23 the AAHP guideline and the court-approved settlements in some  
24 of the false advertising cases that have been brought against  
25 homeopathic manufacturers, we believe that a majority of the

1 homeopathic products sold today bear some sort of disclaimer  
2 on labels and in advertising.

3           The AAHP has conducted a study about consumer  
4 understanding of FDA's role in the approval of a number of  
5 product categories. This study showed that 24 percent of the  
6 consumers tested believed that FDA approved homeopathic drug  
7 claims. This 24 percent is within the range found by the FTC  
8 in its study of a couple of years ago. While 24 percent is  
9 not an inconsequential number of consumers to be confused, it  
10 is important to put that number in context.

11           The AAHP study shows that fewer consumers believe  
12 that FDA approves homeopathic product labels than believe  
13 that FDA approves cosmetic, pet food, and grocery product  
14 labels. In fact, fewer consumers that we surveyed believe  
15 that FDA approved homeopathic product claims than any other  
16 product category tested.

17           The study also suggested that most consumers can  
18 differentiate between allopathic OTC drugs and homeopathic  
19 OTC drugs. The study showed that 76 percent of consumers  
20 understood that FDA reviewed the claims for allopathic OTC  
21 products but, as noted, only 24 percent thought the same  
22 about homeopathic products. These were -- this was a study  
23 that did not show consumers labels. This was based solely on  
24 the use of the terminology.

25           In a separate study, the AAHP also studied consumer

1 perception of product labels with one of three different  
2 disclaimers. This study, to the extent possible, was modeled  
3 on the study that the FTC commissioned several years ago. We  
4 tested three different disclaimers: (1), "These statements  
5 have not been reviewed by the Food and Drug Administration;"  
6 (2), "The uses of our products are based on traditional  
7 homeopathic practice -- they have not been reviewed by the  
8 Food and Drug Administration;" and, (3), "The uses of our  
9 products are based on traditional homeopathic practice," and  
10 then a parentheses, see [www.homeopathic.org](http://www.homeopathic.org), closed  
11 parenthesis; "They have not been reviewed by the Food and  
12 Drug Administration."

13 I should add that that is not a real website, or if  
14 it is, it's not one controlled by us. We used that as a  
15 signal to consumers that more information was available. And  
16 there was some indication from the person who helped design  
17 the survey that signals can help consumers understand that  
18 perhaps this is out of the ordinary.

19 The key finding of this survey is that when a  
20 homeopathic drug bears one of the three label disclaimers  
21 that we tested, only between 1 percent and 8 percent of  
22 consumers believed that homeopathic drug claims are approved  
23 by FDA. That is a dramatic decline from the 24 percent who  
24 believed that FDA approved these claims when not presented  
25 with a label showing a disclaimer.

1           In addition, only 14 percent of the consumers we  
2 surveyed believe that homeopathic drugs had the same level of  
3 scientific support as allopathic drugs. The report and the  
4 analysis of these studies are still in draft form,  
5 unfortunately. We will be submitting them as part of our  
6 written comments to the FTC and, actually, we will also  
7 submit them to the FDA.

8           The data, however, we believe clearly speak for  
9 themselves. We believe that when you have a chance to review  
10 the data in detail you will agree that the use of appropriate  
11 disclaimers on homeopathic products helps consumers make  
12 informed purchasing decisions while complying with the  
13 applicable legal standards. And we look forward to working  
14 with the FTC staff on this issue.

15           Thank you.

16           MR. FORTSCH: Thank you, Al. And I should  
17 elaborate a little bit as you mentioned about the comments.  
18 Just to reiterate again, people are probably tired of hearing  
19 this, but by November 20th, we will accept comments at the  
20 FTC and will welcome the comment that Al has referenced here.  
21 And I know the FDA has also extended their comment period. I  
22 don't know the exact date.

23           MS. LIPPMANN: It's open until November 9th.

24           MR. FORTSCH: Okay. So, we're in November.  
25           Everybody think of -- remember November if you want to file

1 something. So, I will also -- I'm next going to introduce  
2 Paul for his opening remarks.

3 MR. RUBIN: Great. Thank you, Greg. I first would  
4 like to thank the FTC for hosting this public workshop and  
5 providing the opportunity for a wide range of stakeholders,  
6 including government regulators, medical professionals,  
7 industry representatives, and consumer advocates, to share  
8 their views on this important topic.

9 In 1938, Congress enacted the Federal Food, Drug &  
10 Cosmetic Act, or FDCA, which contains a number of specific  
11 provisions applicable to the commercial distribution of  
12 homeopathic drugs in the United States. For example, the  
13 definition of a drug expressly includes articles recognized  
14 in the official Homeopathic Pharmacopoeia of the United  
15 States, or HPUS.

16 Importantly, it's my understanding that the  
17 fundamental principles of homeopathy, including homeopathic  
18 claim support, have been generally consistent since the  
19 passage of the FDCA. In other words, when the FDCA was  
20 enacted, Congress knew how homeopathic claims are supported,  
21 recognized that homeopathic drugs are distinguishable from  
22 allopathic drugs, and clearly intended for consumers to have  
23 access to homeopathic drugs in the United States.

24 FDA's regulation of homeopathic drugs since 1938  
25 has been consistent with this approach. FDA has long

1 recognized the distinction between homeopathic and allopathic  
2 drugs and has not applied new drug application, or NDA,  
3 requirements or the OTC drug review to OTC homeopathic drugs.  
4 In 1988, FDA made this policy explicit when it issued a  
5 Compliance Policy Guide, or CPG, still in effect today,  
6 explaining that OTC homeopathic drugs may be legally marketed  
7 in the absence of NDA approval or inclusion on the OTC drug  
8 review as long as a number of conditions are satisfied.

9           One of those conditions is that OTC homeopathic  
10 drug labeling must bear at least one major OTC indication for  
11 use, stated in terms likely to be understood by laypersons.  
12 This requirement is consistent with the FFDCA requirement  
13 that all OTC drugs must be labeled containing adequate  
14 directions for use.

15           In its recent comments to the Food and Drug  
16 Administration, FTC staff acknowledged the potential conflict  
17 caused by FDA regulatory requirements applicable to  
18 homeopathic drugs. In an effort to address this conflict,  
19 the FTC proposed three options for the FDA. I respectfully  
20 submit that in my opinion all three options are suboptimal  
21 and would pose legal and policy challenges for FDA as they  
22 would either be, in my opinion, contrary to congressional  
23 intent or violate the FFDCA.

24           Rather than those proposed approaches, I  
25 respectfully suggest that there is an alternative approach

1 that should be capable of achieving the FDA's and FTC's goals  
2 while avoiding vexing legal problems and which would seem to  
3 benefit all stakeholders. That would be the use of  
4 disclaimers and qualifying language. Effective disclaimers  
5 should be capable of addressing the FTC's concerns and would  
6 be consistent with the FTC's guidance regarding claims for  
7 traditional use.

8           Such an approach would have another crucial  
9 benefit, as well. It would avoid offending First Amendment  
10 principles that strongly disfavor the suppression of  
11 commercial speech. This is now the clear trend in First  
12 Amendment jurisprudence involving claims for FDA-regulated  
13 products. Such issues arose, for example, in the *Pearson v.*  
14 *Shalala* decision, where the DC Circuit concluded that health  
15 claims lacking significant scientific agreement may be  
16 lawfully disseminated consistent with First Amendment  
17 precedent, provided appropriate disclaimers are disseminated  
18 in order to avoid consumer confusion or deception.

19           Similarly, in the recent *FTC/Pom Wonderful*  
20 decision, the DC Circuit acknowledged that an advertiser may  
21 lawfully disseminate a health-related claim lacking robust  
22 substantiation, falling short of a randomized controlled  
23 trial, if the claim includes an effective disclaimer  
24 disclosing the limitation of the supporting research.

25           The use of disclaimers in this context would also



1 be consistent with the recent First Amendment decision in the  
2 Amarin case, where the Southern District of New York held  
3 that commercial speech disseminated by a prescription drug  
4 company may not be restricted by the Government if claims are  
5 accompanied by appropriate disclaimers reflecting limitations  
6 on claim support. Thus, the existing case law and the clear  
7 trend in such cases strongly suggests that disclaimers would  
8 be more likely than other options to pass muster under the  
9 First Amendment. Of course, according to FTC precedent,  
10 disclaimers would need to be presented in a clear and  
11 conspicuous manner, easily legible to consumers.

12 In sum, both the statutory requirements and  
13 constitutional considerations strongly suggest that use of  
14 carefully crafted disclaimers and qualifying language would  
15 be the optimal solution for addressing concerns about  
16 promotional claims for homeopathic drugs. Such an approach  
17 would be consistent with congressional intent, FDA  
18 regulations, and FTC precedent and would have the important  
19 benefit of adhering to the First Amendment's dictate that  
20 suppression of commercial speech should be a last resort.

21 By utilizing carefully crafted disclaimers and  
22 qualifying language, the First Amendment right of companies  
23 to inform consumers in advertising and promotion regarding  
24 claims lawfully included on product labeling would not be  
25 infringed. Thank you.

1 MR. FORTSCH: Thank you, Paul.

2 I next want to ask Christina to speak.

3 MS. SARCHIO: Thank you, Greg.

4 Class action lawsuits can serve an important  
5 function in protecting consumers, and agencies with great  
6 demand on their resources sometimes rest a little easier  
7 knowing that consumers can enforce their rights through other  
8 vehicles. Unfortunately, that has not been the case here,  
9 where lawsuits filed against the homeopathic industry have  
10 done nothing more than decrease competition in the  
11 marketplace while providing little value to consumers.

12 Good afternoon. My name is Christina Sarchio. I  
13 have been practicing law for 20 years, and in the past five  
14 years, I have seen a huge spike in the number of class action  
15 lawsuits filed against homeopathic companies and the number  
16 of lawyers that are attacking homeopathic companies and  
17 profiting from these lawsuits. In fact, in the five years  
18 past alone, 75 lawsuits have been filed against homeopathic  
19 companies in federal and state courts throughout the country.

20 I have represented manufacturers and retailers in a  
21 dozen of these cases and have seen firsthand the impact that  
22 litigation has had on companies and consumers. The financial  
23 impact on companies as a result of this litigation has been  
24 significant and, in some cases, devastating, with litigation  
25 defense budgets quickly reaching seven figures, even before

1 the parties have reached trial.

2 Now, the three cases that have gone to trial have  
3 been wins for homeopathy. In each of these three cases,  
4 which included two bench trials and one jury trial,  
5 plaintiffs failed to prove in court that the homeopathic  
6 claims were false and misleading. Most cases, however, never  
7 get to trial, with many of them either being dismissed or  
8 settled on a private basis. A handful of those cases have  
9 resulted in settlements, where homeopathic companies have  
10 volunteered to add disclaimers that plaintiff lawyers have  
11 accepted and that judges have approved as adequate to address  
12 concerns of false advertising.

13 So, what has been the impact of this litigation on  
14 consumers? First, these cases have served to limit  
15 competition in the marketplace. Some homeopathic companies  
16 have stopped selling in the U.S. altogether, while others  
17 have stopped selling their lower priced and lower selling  
18 products because the cost of litigation is greater than their  
19 sales.

20 In addition, retailers, worried about suits or the  
21 negative publicity of these suits have reduced the number of  
22 homeopathic drugs they carry on their store shelves. Thus,  
23 the choices that consumers have are being limited, and not  
24 because a court has ordered them off the shelf or because  
25 there was any finding of wrongdoing.

1           Second, because a majority of these cases are  
2 either dismissed or they settle on an individual basis,  
3 consumers mostly receive nothing at all from the lawsuits  
4 that are brought on their behalf. The individuals that bring  
5 these suits often have a familial or close connection to the  
6 lawyers that also bring these suits. And these folks quickly  
7 abandon their efforts to stop the "offending" conduct for the  
8 want of \$5,000 they can get in a private settlement and the  
9 handsome fees their attorneys can get.

10           Third, in the rare instance a case survives  
11 dismissal or is certified by a judge, the settlement that  
12 follows typically yields very little value to consumers. A  
13 settlement usually provides reimbursement through a fund, but  
14 very few consumers actually get any money from it. In one  
15 lawsuit I was involved in, where the sales totaled \$350,000  
16 over a four-year period, the company spent over \$1 million in  
17 litigation before deciding to settle. Less than 10 percent  
18 of the class members submitted requests for reimbursement.  
19 So, at the end, consumers received about \$33,000, while their  
20 lawyers received \$750,000 in fees.

21           In another case I was involved in, we polled the  
22 consumers that submitted claim forms after settlement.  
23 Fifty-five of those consumers went out and purchased either  
24 the same homeopathic product or a different homeopathic  
25 product, despite receiving money from the lawsuit that

1 alleged that homeopathic products do not work as advertised.

2 In conclusion, what I have seen is that the cost of  
3 litigation has been devastating to many companies, typically  
4 small companies that are forced to defend their products. No  
5 plaintiff has been able to convince any judge or any jury at  
6 trial that these claims are false or misleading, and the  
7 consuming public has received little to no benefit from the  
8 class action cases brought on their behalf.

9 Thank you.

10 MR. FORTSCH: Thank you, Christina.

11 David?

12 MR. SPANGLER: Good afternoon. I'm with the  
13 Consumer Healthcare Products Association. We represent  
14 manufacturers of OTC medicines, both allopathic and  
15 homeopathic, and dietary supplements. Thank you for having  
16 us here this afternoon. I'd like to make four points this  
17 afternoon.

18 First, consumers want choice and control over their  
19 health. For instance, in a survey this year by -- for CHPA  
20 by the market research firm GS Strategy Group, they found  
21 that while three-quarters of Americans agree they have  
22 sufficient choices in consumer healthcare products today,  
23 that same percentage would like to have even more options to  
24 treat their conditions. Four out of five respondents agree  
25 that finding a product that works for them means they need

1 multiple choices.

2 Homeopathic products are one part of that spectrum  
3 of options of choices to help Americans address their  
4 everyday healthcare needs. That includes things like  
5 addressing cold symptoms, headaches, or heartburn. For  
6 another example, the National Health Information Survey found  
7 that nearly three-quarters of Americans have used  
8 complementary and alternative medicine as CDC defines it.  
9 One small part of that, around 2.6 percent, is homeopathy.  
10 So, repeating, it's a choice among many options.

11 Second, yes, Section 5 of the FTC Act declaring  
12 unfair or deceptive acts or practices unlawful certainly  
13 applies to homeopathic product advertising, just as it would  
14 to other consumer healthcare product advertising or, indeed,  
15 consumers advertising in general.

16 Third, well established and embedded within the  
17 prohibition against deceptive advertising is that advertisers  
18 must have a reasonable basis for the claims or, in other  
19 words, ads must be substantiated under the Pfizer factors,  
20 which take into account, as you know, the type of product,  
21 the type of claim, and the ease of developing substantiation  
22 for a claim.

23 As I know this audience is well aware, the Pfizer  
24 factors approach to substantiation is a flexible standard  
25 that recognizes the amount of evidence required depends on

1 what the advertiser has said about that evidence. In  
2 general, the Commission has not attempted to use  
3 substantiation doctrine to prescribe specific tests as the  
4 basis for particular classes of ad claims. To suggest  
5 otherwise, as the August 2015 FTC staff comments to FDA on  
6 homeopathic product regulation seem to suggest, is  
7 overreaching.

8 I say that because under the approach of the  
9 American Association of Homeopathic Pharmacists ad  
10 guidelines, homeopathic ads disclose the product as  
11 homeopathic, they can disclaim the product as not FDA-  
12 reviewed, and they reference the support for their claims,  
13 such as homeopathic literature. An ad following this  
14 approach is squarely within the Pfizer factors. The type of  
15 claim ties to the strength of support.

16 Fourth and finally, we would note that going back  
17 decades, and as Michelle Rusk noted, there's been the clear  
18 delineation between FTC and FDA where, in the interest of  
19 clarity and efficiency, FDA has primary responsibility with  
20 respect to regulation of advertising of foods, drugs,  
21 devices, and cosmetics. And in the absence of an express  
22 agreement between the two agencies to the contrary, FDA  
23 exercises primary jurisdiction over all matters regulating  
24 the labeling of these products.

25 We are reassured that today we've heard from

1 neither FTC nor FDA a suggestion that there's some basis to  
2 change this longstanding, widely understood agreement.

3 MR. FORTSCH: Thank you, David.

4 Antonio?

5 MR. VOZZOLO: Good afternoon. I'd like to thank  
6 the FTC for holding this panel today. I appreciate the  
7 invitation to come speak today. I'd like to read off a  
8 couple of pretty interesting and noteworthy statements.

9 May companies simply use regulation of homeopathic  
10 medicine as a cheap license to sell whatever they wish?

11 Since the FDA in the United States, like many  
12 regulatory agencies, is underfunded, and since the public  
13 safety impact of enforcement of homeopathic regulation is  
14 seen as a low priority, there are no bodies in the streets,  
15 the FDA frequently does not enforce its own regulations, let  
16 alone those of the HPCUS, HPCUS. The results are the U.S.  
17 has become the victim of numerous so-called homeopathic  
18 medicines which receive big ad dollars but no clinical  
19 testing. Manufacturers have an obligation to customers to  
20 provide products that work.

21 Now, this statement is not a statement from a class  
22 action attorney. The statement is not from a consumer  
23 advocate. This is from a prominent CEO of one of the major  
24 homeopathic manufacturers in this country. And this  
25 statement was made in 2001.



1           So, the question you have to ask, what has occurred  
2 between 2001 and 2015? And that's why I thank the FTC for  
3 holding this panel because there's obviously an issue with  
4 the marketing of homeopathic products in this country.

5           What I've heard today are anecdotal evidence about  
6 surveys, about how customer satisfaction is somehow  
7 tantamount to efficacy. Somehow customer satisfaction  
8 implies that products work. Product placement implies  
9 efficacy. You need to walk into these grocery stores, these  
10 pharmacies, and look at the actual packaging. There are  
11 efficacy claims made right on the packaging. This is not  
12 simply packaging placed next to other OTC products. The  
13 deceptive and misleading advertising is actually on the  
14 packaging itself, representations that these products are  
15 effective, that these products are fast-acting, that these  
16 products work quickly.

17           And I'd like to point back to that last statement.  
18 There's an obligation to provide truthful and accurate  
19 statements. Manufacturers have an obligation to provide  
20 products that work. And this is the benefit of class  
21 actions, so I thank Ms. Sarchio for providing a wonderful  
22 interpretation of class action benefits that have occurred to  
23 date, but the class action device is very powerful,  
24 particularly in this instance where there seems to have been  
25 a lack of action on behalf of numerous parties.

1           And the value of class action litigation provides  
2 multiple benefits, including deterrence effects,  
3 reimbursements and refunds to consumers. Many of these  
4 settlements have provided monetary relief to class members.  
5 They've received full reimbursement of the purchase price of  
6 their product. There have been funds made, anywhere between  
7 \$1 million and \$5 million, for some of the smaller cases,  
8 which does not revert back to defendant homeopathic  
9 manufacturers. This is hard, real money for consumers to get  
10 back.

11           Now, the problem with the amount of claims has to  
12 do with the way the products are marketed. These products  
13 are sold in pharmacies. There is a claims-made process where  
14 people have to submit claim forms. It's just the function of  
15 the system. It has nothing to do with the efficacy or value  
16 of class action litigation.

17           The other benefits of class action litigation  
18 involve what I call injunctive relief or labeling changes,  
19 and we have gone through a litany of some of the labeling  
20 changes. FDA disclaimers has been mentioned as an important  
21 disclaimer, although the regulatory body has claimed benefit  
22 of imposing the FDA disclaimer, that is a function out of --  
23 a function of some of the lawsuits that had been filed early  
24 on. That was one of the proposals made by plaintiff class  
25 action attorneys on how to address some of the misleading

1 advertising.

2           Some of the other injunctive aspects that have been  
3 proposed in class action settlements include dilution  
4 disclaimers, explaining these dilution formulas on the  
5 product packaging, what they mean and how to provide that  
6 information to consumers so they can make a reasonable and  
7 informed decision. There also have been the CPG disclaimer,  
8 where they place a link to the FDA Compliance Policy  
9 Guideline of Section 400.400.

10           And, also, there have been proposed efficacy  
11 disclaimers, where defendants have agreed not to use the  
12 words "clinically proven," "proven effective," or similar  
13 words unless at least two clinical trials have been performed  
14 by independent research using random clinical trials to  
15 establish efficacy.

16           I think that's all I have to say. I know we have  
17 limited duration.

18           MR. FORTSCH: Okay. Thank you, Antonio.

19           And last but not least, Kat Dunnigan from the NAD.

20           MS. DUNNIGAN: Hi, and hi over there. I came from  
21 New York this morning, and at 4:00 a.m. as I was headed out I  
22 peeked into my kid's room, and immediately my two-year-old  
23 said, I'm awake, yeah! -- which is both adorable and  
24 unfortunate. But, so, I say to you in a similar vein, at the  
25 end of this long panel, I'm the last one, yeah!

1           And, so, just to get right to it, if there's one  
2 thing I would want you to take away from anything I have to  
3 say today is that at NAD, the National Advertising Division,  
4 if you want to make health-related performance claims about  
5 your homeopathic product, then you must have confident and  
6 reliable scientific evidence to support those claims. And  
7 just so we're on the same page, I'm also including claims  
8 that appear on the label.

9           And just a quick background about NAD, the National  
10 Advertising Division, we are one of several forums an  
11 advertiser can find themselves in, be it legal, regulatory,  
12 self-regulatory, where an advertiser will be called upon to  
13 provide a basis for their claims. NAD is a self-regulatory  
14 forum, where competitors have the -- competitors challenge  
15 the truthfulness of one another's advertising.

16           We also have a monitoring program where we'll reach  
17 out and ask advertisers to send us substantiation for their  
18 claims. We do this in industries where competitors tend not  
19 to challenge one another, the cosmetics industry, dietary  
20 supplements, and homeopathy.

21           Especially with regard to homeopathic products, the  
22 types of claims we see over and over again are health claims.  
23 And, so, just to cull a few examples from prior cases,  
24 prevents acne, clinically proven to reduce the duration of  
25 your cold, or, more seriously, to relieve symptoms of ADHD in

1 children. These claims and others like them should be  
2 supported by competent and reliable scientific evidence, and  
3 just to say it again, the best being randomized, placebo-  
4 controlled trials that are statistically significant to the  
5 95 percent confidence level. There should also be evidence  
6 that the treatment effect is large enough to be meaningful to  
7 consumers, and that isn't always the same thing as  
8 statistical significance.

9           While NAD makes determinations on a case-by-case  
10 basis, generally speaking, the presence of a product's active  
11 ingredient or ingredients in documents produced by the HPUS  
12 or in a materia medica are not sufficient. They are  
13 insufficient, not good enough to provide a reasonable basis  
14 for health-related performance claims. Also, generally  
15 speaking, homeopathic provings, in vitro studies, and animal  
16 studies are also not considered, on their own, to be  
17 confident and reliable scientific evidence.

18           And to understand why this is, you have to go back  
19 to the very beginning of claim analysis, and the first  
20 question we ask ourselves is what are the messages reasonably  
21 conveyed by this advertising. The types of messages conveyed  
22 drive the level of evidence required. And, so, if you're  
23 going to implicitly or explicitly say that your product has a  
24 specific effect on human health -- and I think we need to  
25 take a moment to just acknowledge that these claims can be

1 very powerful and have the potential to touch upon real fears  
2 and vulnerabilities in people's lives -- well, if you're  
3 going to make that type of claim, consumers are reasonable in  
4 assuming that you have tested the product out on humans.

5           And at the present time, and admittedly painting  
6 with a broad brush across different health fields, scientists  
7 concur that the most reliable way to do this is to gather a  
8 sample of population of people that look a lot like the  
9 people you're advertising to and to have clinical endpoints  
10 that are clearly defined; test your ingredient or product  
11 against a placebo; and then conduct a statistical analysis to  
12 make sure that what you're seeing is due to the intervention  
13 and not just due to random chance.

14           I don't mean to sound so grim. I'm certainly not  
15 saying that in the absence of this evidence there are no  
16 claims to be made. And as also has been said before me many  
17 times, traditional use types of claims are popular in this  
18 field and are generally provable, as long as they're narrowly  
19 tailored to not imply that they've been clinically tested for  
20 efficacy.

21           So, in conclusion, the types of messages conveyed  
22 drive the level of evidence required. And at NAD, it doesn't  
23 matter who you are. If you're an aspirin manufacturer or  
24 dietary supplements or homeopathy, what we look at are the  
25 messages conveyed and the fit of the evidence to those

1 messages. And, so, regardless of what product category you  
2 find yourself in, if you want to make health-related  
3 performance claims, then the level of evidence is competent  
4 and reliable.

5 Thank you. And thank you to the FTC for having  
6 this panel and for having me today.

7 MR. FORTSCH: So, thank you for all your opening  
8 remarks and, again, thank you for participating. We're going  
9 to now go into a number of different questions that are  
10 raised by the FTC, including issues that have come up earlier  
11 today.

12 Now, as Commissioner Ohlhausen said in her opening  
13 remarks and I would reiterate, and I think it's pretty clear  
14 at this point, our workshop today is not about the practice  
15 of homeopathic medicine; it's about the advertising of  
16 products that are marked as homeopathic or are homeopathic.  
17 And we also are here to talk about the FTC, but not the --  
18 but it's hard to talk about this issue without talking about  
19 the FDA because as we pointed out a number of times today and  
20 in our remarks, comments that we filed with FDA, we work very  
21 well and very collaboratively with the FDA because we have  
22 common interests in protecting the American public.

23 And, so, my first question I want to direct to  
24 Elaine Lippmann from the FDA. There's a few questions, but  
25 the first one is, so, and I think you covered this a little

1 bit in your opening remarks, but maybe a little more  
2 elaboration, if you can, on why is the FDA reexamining its  
3 regulatory framework right now.

4 MS. LIPPMANN: Yes, so, as I stated in my opening  
5 remarks, our current policy has been in place for about 25  
6 years, during which time the homeopathic industry has grown  
7 significantly. So, we're gathering information about whether  
8 it's the right time to adjust the current enforcement  
9 policies to better reflect the variety, the volume, and  
10 complexity of the products -- homeopathic products that are  
11 on the market today.

12 In addition to the industry's significant growth,  
13 there have been some emerging safety, quality, and policy  
14 concerns that we've become aware of in recent years. For  
15 instance, there's a common misconception that homeopathic  
16 products are necessarily safe because they're natural.  
17 Unfortunately, FDA has become aware of some safety issues  
18 associated with some of these products, which demonstrates  
19 that the safety of these products depends upon a multitude of  
20 variables, just as it does with all drug products, things  
21 like how much ingredient there is, manufacturing quality,  
22 that sort of thing.

23 So, for all these reasons, FDA is taking another  
24 look, gathering stakeholder input, and determining the best  
25 way to regulate these products.



1           MR. FORTSCH: So, Elaine, I think another question  
2 I had for you was -- which I think would be helpful to make a  
3 distinction, if you can, between -- and I'm sure it's  
4 complicated, of course -- but what is FDA's regulatory  
5 enforcement approach to other nonhomeopathic marketed  
6 unapproved drugs, you know, to provide a contrast between  
7 homeopathic and nonhomeopathic?

8           MS. LIPPMANN: Sure. First, let me step back and  
9 explain that any new drug requires approval by the FDA before  
10 it can be marketed in the U.S. And this is true whether it's  
11 prescription or over-the-counter. Now, some new drugs are  
12 marketed without FDA approval, and recognizing that we're not  
13 able to take immediate legal action against all illegally  
14 marketed products, we have to prioritize and figure out how  
15 to best use our resources. So, we've had to prioritize our  
16 enforcement efforts with regard to drug products that require  
17 FDA approval but are marketed without it.

18           And these priorities are spelled out in our FDA  
19 guidance call Marketed Unapproved Drugs Compliance Policy  
20 Guide, which was issued in September of 2011. And FDA has  
21 other compliance policies in place, as well, for example, the  
22 homeopathic CPG. But I want to just make clear that any drug  
23 product that requires FDA approval but is marketed without it  
24 is subject to FDA enforcement.

25           MR. FORTSCH: And this could be a challenging

1 question, but I'll ask anyway, because I don't know how I  
2 would answer it, but what options is FDA considering?

3 MS. LIPPMANN: Yes, it is a challenging question,  
4 and I'll answer with kind of a non-answer, which is that  
5 we're still considering what options -- we're considering a  
6 range of options, and we -- it's premature to discuss what we  
7 might do. We're still gathering feedback. We've gotten a  
8 lot of comments to our docket so far, and we recently  
9 reopened the docket, so now it is open until November 9th.  
10 So -- and we encourage any interested person who has not  
11 already submitted comments to go ahead and do so.

12 So, we're getting a broad range of feedback, and  
13 we'll consider all of that information in determining the  
14 best way to regulate homeopathic products.

15 MR. FORTSCH: So, I honestly did not set up that  
16 question so that I could answer it myself, but --

17 (Laughter.)

18 MR. FORTSCH: -- we're also -- I would say the same  
19 thing for the FTC. We're really considering and looking at  
20 comments, listening to what -- every single thing that's been  
21 said today before we decide the path forward for us, as well.

22 And, so, to turn more to the FTC, I wanted to ask  
23 my colleague, Michelle, a few questions. FTC consumer  
24 research suggests -- and I'm talking about, in part, the  
25 research that we reference in our comments that we filed with

1 FDA in August -- FTC consumer research seems to suggest that  
2 there are a significant number of consumers who think  
3 homeopathic products have been tested for efficacy. Now, Al  
4 -- and I -- Al has different research, which I look forward  
5 to reviewing, and I know there are differing opinions on  
6 this, but based on our agency's research, are consumers  
7 misled when that is not the case?

8 MS. RUSK: I think the answer to that is yes, clear  
9 and simple. If a claim that a product is effective to treat  
10 a certain condition carries with it the implied claim, the  
11 underlying claim that the advertiser, in fact, has done the  
12 research to know that it's effective for that condition, and  
13 if they haven't done the research and they don't know that  
14 it's effective, the claim's misleading.

15 And we heard a lot of talk in the opening remarks  
16 about disclaimers as the way to fix that. And I have two  
17 thoughts about that. One is saying the FDA has not approved  
18 the product, doesn't really address that issue of correcting  
19 consumers' understanding that the claim is substantiated,  
20 that there's quality science behind it. It corrects a  
21 different misperception, which is that the FDA regulatory  
22 approach is the same as for other products, but it doesn't  
23 correct the misperception about there being science to back  
24 up the efficacy.

25 The other thing I would say about disclaimers is

1 that our research is not just for homeopathics but over the  
2 years looking at things like qualified health claims for food  
3 products and supplements has shown us pretty vividly that  
4 it's very difficult to craft a disclaimer that really  
5 communicates there is no science to back up this claim.

6 So, I think we have to be careful when we think  
7 about disclaimers as a remedy and making sure that they  
8 really effectively correct the misperception.

9 MR. FORTSCH: I suspect there might be others on  
10 the panel who have a question or comment on that. If not,  
11 I'll move on to the next question. Does anyone to my -- the  
12 two people to my right want to --

13 MR. LORMAN: I'll accept your invitation.

14 MR. FORTSCH: Okay.

15 MR. LORMAN: We have more data on that, and we have  
16 not had an opportunity to fully digest it. Unfortunately,  
17 the study that we commissioned exists at this point. We have  
18 the raw data, but the analysis of the data is in draft form,  
19 but we did actually inquire about the level of understanding  
20 of what kind of support there is behind these claims because  
21 we recognize that the FTC is looking at this.

22 The initial data shows that consumers do recognize  
23 that there's a difference between that and as cited between  
24 the level of data supporting allopathic products regulated by  
25 FDA and homeopathic products. I'm not prepared to say more

1 about that at this point. And it may well be that additional  
2 information -- additional surveys are necessary to further  
3 elucidate that point, and we're perfectly happy to do that.

4 MR. FORTSCH: Since we're on the topic of  
5 disclaimers, I have a question from the audience. For those  
6 who think disclaimers are an answer to the problem, how do  
7 you balance FDA's requirement of indications of use, for  
8 example cold or flu, with an actually effective disclaimer,  
9 and how do you disclaim what the product is sold for?

10 MR. RUBIN: I can start with that.

11 MR. FORTSCH: Okay.

12 MR. RUBIN: So, I think the issue really -- I think  
13 the best source of this is the FTC's guidance, the  
14 advertising guidance for dietary supplements for traditional  
15 use, which I think Al alluded to a few minutes ago. And in  
16 essence, yes, there's an indication for use that FDA requires  
17 companies to use, and they would include that on your label,  
18 but you can have a disclaimer that can go in many different  
19 directions. You've seen some that talk about FDA approval;  
20 you could see some that address the substantiation from the  
21 principles of traditional homeopathic principles; you can see  
22 references to educational websites with significant  
23 information.

24 You know, one of the problems I think we see when  
25 we think about disclaimers is that it is exceedingly

1 difficult to have a comprehensive disclaimer explaining the  
2 depth of homeopathic regulation and how that contrasts to the  
3 OTC drug review or NDA OTC drug products in a disclaimer, on  
4 a product or advertising. It will be way too confusing.

5 So, from my perspective, I think the key is to  
6 signal consumers that there is a fundamental difference. And  
7 as long as consumers are signaled and then they're armed now,  
8 you know, that fortunately we live in an era where via the  
9 internet and other sources you can obtain a tremendous amount  
10 of information.

11 So, I think the signaling effect is really what's  
12 critical for disclaimers, and I think there are many ways of  
13 getting there, but I don't think there's inherent tension  
14 between having an indication for use and having some  
15 disclaimer addressing it. And I think that's consistent with  
16 the FTC's principles established in the traditional use  
17 guidance.

18 MR. FORTSCH: Since we teased that question out a  
19 little bit after I initially asked, I wanted to see if  
20 Michelle had a response to the --

21 MS. RUSK: I do. And as somebody who was very  
22 involved in the writing of the dietary supplement guides --

23 MR. RUBIN: I spoke to you about it years ago.

24 MS. RUSK: Yes. I have noticed that it's one of  
25 those documents that can be quoted to support whatever you

1 want, unfortunately sometimes. But you are right that we do  
2 address traditional use medicines in the guidelines, but I  
3 think I want to make it very clear what the guidelines say  
4 about traditional use, because it's a very limited situation  
5 where we would consider it appropriate to talk about how  
6 something has been traditionally used. And what our  
7 guidelines say is that any discussion of traditional use also  
8 needs to clearly convey that the efficacy of the product has  
9 not been confirmed by research and that traditional use  
10 doesn't establish that the product will achieve the claimed  
11 results.

12           So, and that's a standard of does the consumer get  
13 those messages, one, that it hasn't been backed by research  
14 and that the fact that it's traditionally used doesn't mean  
15 it will have the claimed results. I think that's a pretty  
16 high standard. We're not saying -- and we wouldn't say under  
17 the First Amendment that under no circumstances could you  
18 communicate that effectively, but as I said before, I think  
19 it's very challenging to say traditional use for colds, we  
20 don't have any science and traditional use doesn't mean that  
21 it works for colds. I think that's a message that just --  
22 there's a disconnect there that makes it very difficult for  
23 consumers to reconcile.

24           The other thing our guideline says is that  
25 traditional use claims, even with that kind of very clear and

1 strong disclaimer about efficacy, shouldn't be made for  
2 serious diseases, that at that point the sort of analysis  
3 shifts. And when you're talking about cancer, for instance,  
4 you really can't make a claim and just disclaim away the lack  
5 of science.

6 MR. RUBIN: Can I jump in, Greg?

7 MR. FORTSCH: Yes, but quickly.

8 MR. RUBIN: Sure. I'll be very quick. So, I agree  
9 with that last point. I thought it was a very good segue,  
10 Michelle. Just to remind everyone that we're talking about  
11 OTC homeopathic drugs, which pursuant to FDA rules must be  
12 marketed for a self-limiting -- for the treatment of a self-  
13 limiting condition amenable to self-diagnosis.

14 And in the traditional use guidelines, I'll quote,  
15 it says, "The FTC in determining the level of substantiation  
16 necessary to substantiate a claim, the FDA will assess among  
17 other things the consequences of a false claim." And I think  
18 in this context that should be factored in. We're talking  
19 about things that basically are self-limiting. They go away  
20 on their own. They're OTC conditions. That's not cancer.  
21 These are not significant diseases, so I would respectfully  
22 submit that that should be factored into the analysis, as  
23 well.

24 MR. FORTSCH: So, as we have moved a little bit  
25 into the issue of substantiation, I want to ask a question.



1 The science panel this morning talked a lot about  
2 substantiation. And I want to direct this to Al initially,  
3 with questions from others -- or comments from others if  
4 there are any. Assuming the FTC required human clinical  
5 trials to substantiate treatment claims for over-the-counter  
6 homeopathic drugs or determined that provings were not  
7 sufficient, competent, and reliable scientific evidence to  
8 substantiate such claims, what would be the effect on the OTC  
9 homeopathic drug industry?

10 MR. LORMAN: Well, first, I would like to point out  
11 that requiring 2015 clinical trials for OTC homeopathic drugs  
12 would essentially be requiring a standard that was never  
13 required of allopathic OTC drugs during the OTC review when,  
14 in fact, panels of experts largely relied on their own  
15 sophisticated and medical expertise to decide which drugs  
16 would be recognized as generally recognized as safe and  
17 effective. It was never a two-clinical-trial requirement  
18 during the OTC drug review, and so you'd actually be  
19 requiring of us something that is not required of allopathic  
20 OTC drugs.

21 Elaine mentioned that there are 7,000 homeopathic  
22 products registered with FDA. If each of them were to be the  
23 subject of two clinical trials, that's 14,000 clinical  
24 trials. This is where my math starts to break down. If each  
25 clinical trial costs roughly \$1 million to conduct, I

1 calculate that that's a commitment of \$1.4 trillion for an  
2 industry whose annual sales at retail are slightly above \$1  
3 billion. Even if that money was available, I find it  
4 inconceivable that any regulatory agency would spend the time  
5 and energy to review 14,000 clinical trials.

6 The practical effect of any kind of clinical trial  
7 requirement of that extensiveness is that assuming that  
8 you're just going to apply it to advertising it means there's  
9 not going to be any advertising basically and that, therefore  
10 there's -- consumers are going to be denied a way of knowing  
11 about the existence of these drugs, assuming they're still  
12 available under FDA's Compliance Policy Guide, and, so, that  
13 the manufacturers then face a much more difficult task in  
14 presenting to consumers any information about these products.

15 It seems to me that it's both -- it's an unworkable  
16 requirement, given the number of homeopathic drugs, and I  
17 might add the price of homeopathic drugs. We're talking  
18 about products whose -- at retail range from \$3 to maybe \$10.  
19 I mean, the clinical trial requirement that you're talking  
20 about today is essentially the clinical trial requirement  
21 required in new drug applications where they're -- where  
22 pharma is hoping to hit a \$1 billion-a-year sale. That's  
23 essentially what our entire industry does.

24 MR. FORTSCH: I don't -- I know Michelle probably  
25 wants to comment on that, but I also wanted to ask Elaine if

1 it's something that you could weigh in on, and particularly  
2 the OTC comments that Al mentioned initially.

3 MS. LIPPMANN: Which the --

4 MR. FORTSCH: The extent to which so many OTC  
5 products are not subject to what we're asking homeopathic  
6 products to be subject to.

7 MS. LIPPMANN: Well, like I said, any drug -- if  
8 you meet the definition of a new drug, you need to be  
9 established as safe and effective, whether it's through the  
10 OTC monograph or through an application -- an NDA  
11 application. So, as I said before, we have enforcement  
12 priorities that we articulate in any number of ways, but  
13 under the statute, all new drugs, in order to be marketed in  
14 the U.S., are required to be established as safe and  
15 effective.

16 Now, I'm not sure how the -- any change in FTC's  
17 requirement for substantiation -- I'm not sure how that would  
18 affect FDA's regulatory authority. I will say that our --  
19 the homeopathic CPG of the FDA is not intended to bind the  
20 FTC or to impact its enforcement of its own statutory  
21 authority. It's merely an articulation of FDA's enforcement  
22 policies with regard to requirements of the FDNC Act.

23 MR. FORTSCH: Michelle?

24 MS. RUSK: Yeah, I'd like to respond to the  
25 statements that Al made about what the effect would be on the

1 industry and what the cost of doing clinical studies are.  
2 First of all, the 7,000 products, I think we heard this  
3 morning, in terms of what's really in retail, it's more like  
4 100 products, maybe 1,000 at most. But, more importantly, I  
5 think nobody has said today that you need to do \$2 million  
6 trials.

7 And, you know, we regularly investigate companies  
8 in all kinds of product categories who are making similar  
9 claims to the homeopathic industry, claims about colds,  
10 claims about weight loss. And we don't -- the studies that  
11 are done are significantly less expensive than million-dollar  
12 studies, and they are definitely financially feasible given  
13 the profits the companies are making.

14 MR. LORMAN: May I respond?

15 MR. FORTSCH: I actually want to -- we're limited  
16 in time, so I would love to have you respond, but we must  
17 keep moving.

18 So, one of the questions that I have for both Paul  
19 and David is about qualified claims. They can be difficult  
20 to communicate and may not be commercially attractive, but  
21 what would a qualified claim for an over-the-counter  
22 homeopathic product look like? A qualified claim must  
23 communicate unambiguously that the evidence is nonconclusive  
24 or that additional research is necessary, something that we  
25 think might apply to a homeopathic product, or at least some

1 of them.

2 So, I wanted to see if either of you had a response  
3 to that. I might start with David and then go to Paul.

4 MR. SPANGLER: I think Paul already earlier talked  
5 about a lot of that when he was talking. There are a lot of  
6 different ways to disclose and qualify, so I don't know that  
7 there's a magic bullet and I don't know why, then, one would  
8 say that a qualified claim must unambiguously communicate or  
9 the evidence is inconclusive or that additional research is  
10 necessary.

11 It seems to me your qualifier or your disclaimer is  
12 simply trying to make sure that you're getting across the  
13 context in which the claim is made, be that a reference to a  
14 website, be that pointing out its traditional literature or  
15 traditional use, or that it's based on homeopathic  
16 literature, but accurately characterizing what the claim is  
17 based on as opposed to trying to communicate what it's not  
18 based on.

19 MR. RUBIN: And I think just to add to David's  
20 comments, which I agree with entirely, I think it's important  
21 to keep in mind the fact that FDA-regulated products are very  
22 diverse, and if we -- if you want to delve into comprehensive  
23 disclaimers that address complex regulatory regimes, they're  
24 just not going to work.

25 I mean, think about all the different products

1 where that could be required. You have Class I devices that  
2 are not reviewed or approved or cleared by FDA at all; Class  
3 2 medical devices that are cleared but not approved, only  
4 deemed to be substantially equivalent to a lawfully marketed  
5 predicate. Do you have to make that disclosure and say some  
6 of those cases there are no clinical studies; other cases  
7 there are? Do you really need to get into that level of  
8 detail?

9           So, I would again submit that I think that the key  
10 is to signal to consumers. You know, even the OTC drug  
11 review we've been talking about, I mean, consumers don't  
12 appreciate that that's an ingredient-based review, not a  
13 product-specific review. Those products, in general, have  
14 not been individually reviewed for safety and efficacy.  
15 They're deemed generally recognized as safe and effective  
16 based on ingredients.

17           So, I think that based on all that, the key is to  
18 signal consumers that there is something unique and special  
19 about homeopathy and that the claims are based on a very  
20 different standard. And I think there are many ways of  
21 accomplishing that.

22           MR. FORTSCH: I'm going to beg the panel and the  
23 audience's indulgence. I'd like to go on for a few more  
24 minutes. I have a few questions for Antonio and Christina  
25 and Kat. So, if you wouldn't mind a few more minutes, I'm

1 going to beg your indulgence for that.

2 Kat, I had a question for you. Is the NAD  
3 requiring a level of substantiation that is not required by  
4 the Federal Trade Commission?

5 MS. DUNNIGAN: The short answer is it doesn't seem  
6 to be -- there doesn't seem to be any indication that NAD's  
7 approach to claim substantiation is at odds with the FTC's  
8 thinking on the matter. And then the slightly less short  
9 answer is that NAD has enjoyed -- we're very privileged to  
10 enjoy an open relationship with the FTC, and we know that if  
11 an advertiser chooses not to participate or chooses not to  
12 comply with our recommendations that when we send the  
13 referral to the Federal Trade Commission that they will  
14 communicate with the advertiser and also then communicate the  
15 status of the referral -- the results of that referral.

16 And, so, there is a dialogue between the two --  
17 these two institutions, and I think that if they were going  
18 in very separate directions we would know.

19 MR. FORTSCH: And, so, I just had a couple of  
20 questions for Antonio and Christina, who I hope will be  
21 friends after this panel today.

22 (Laughter.)

23 MR. FORTSCH: If they're not already friends. So,  
24 I'll start -- I have several questions, so I'll go back and  
25 forth on who I start with, but -- or we'll let the discussion

1 take its course. But, first, what has been the overall  
2 impact of class action litigation on the homeopathic  
3 industry? And I know -- I think at least, Christina, you  
4 covered this, but I think you may have different answers to  
5 that question, so I'd like to hear both of you and your  
6 thoughts on that.

7 MR. VOZZOLO: Sure. I'm not sure it's actually had  
8 a significant impact on the marketing or activities of  
9 homeopathic companies. I'm not aware of any companies that  
10 have gone out of business as a result of class action  
11 litigation. The class action lawsuits that have been brought  
12 so far have resulted in fairly small settlements.

13 I think there's a misnomer or a misunderstanding  
14 about the types of -- or the size of the manufacturers that  
15 sell these products in the U.S. They're very large  
16 manufacturers. They generate significant revenues, and you  
17 could tell that by the quality of the counsel that they hire.  
18 Every lawsuit I've seen has hired a white-shoe law firm to  
19 defend it. These are very expensive lawyers. They have  
20 significant funding. So, I do not think it has had an impact  
21 whatsoever in the industry.

22 MR. FORTSCH: Christina?

23 MS. SARCHIO: So, in 2014, the CEO of Heel  
24 announced in a press release that's publicly available that  
25 one of the major reasons that Heel was withdrawing from the



1 North American market was "the substantial cost of  
2 litigation." I want to make sure I got that right.

3 So, it has had at least two homeopathic companies  
4 have completely withdrawn from the U.S. company [sic] and I  
5 have seen homeopathic companies lay people off, not be able  
6 to invest in research and development, and, more importantly,  
7 not wanting to change or improve their advertising practices  
8 or educational campaigns for fear that if they change  
9 anything then the plaintiffs' lawyers will come in saying,  
10 aha, you changed it because you did -- you admit you were  
11 doing something wrong.

12 And, so, we're really at a standstill at sort of  
13 improvements in the homeopathic industry because litigation  
14 has chilled the desire of the companies to really reinvest in  
15 their product and in educational campaigns.

16 MR. VOZZOLO: Greg, I'd just like to follow up on  
17 one point. You raise the fact of R&D for homeopathic  
18 companies, and are you aware of homeopathic companies  
19 actually spending significant dollars on R&D for homeopathic  
20 products? Because I challenge you to say that statement. It  
21 is -- it is a complete fictitious answer. There is no such  
22 word as R&D in a homeopathic business.

23 MR. FORTSCH: Well, I'm going to -- I know Al had a  
24 comment, and I did also have a couple more questions in this  
25 field before we close.

1           Al?

2           MR. LORMAN: I just wanted to follow up on  
3 something Antonio said earlier about that the class actions  
4 lawsuits have contributed to the disclaimers that appear on  
5 labels and in advertising. And, in fact, the truth is just  
6 the opposite. The American Association of Homeopathic  
7 Pharmacists, long before the first of these cases was ever  
8 filed, was discussing ways to adopt a disclaimer program to  
9 provide additional information to consumers about the  
10 homeopathic nature of the product -- of the products that we  
11 sell.

12           And, in fact, that effort basically stopped when  
13 the lawsuits were filed, precisely because we were concerned  
14 that were we to then adopt it, it would be cited against us  
15 in the lawsuits as proof that we knew we weren't adequately  
16 warning people before. So, the reality is that an adequate  
17 disclaimer program would have been adopted many years ago had  
18 there not been these class actions against the companies.

19           MR. VOZZOLO: Just one followup point, Greg, and  
20 it's just a well known concept in law that subsequent and  
21 remedial measures are inadmissible in a court proceeding, so  
22 I take that with a grain of salt.

23           MR. FORTSCH: Well, Christina and Antonio, in terms  
24 of settlements, some companies have agreed to include a  
25 disclaimer that the claims have not been approved by the FDA.

1 How, if at all, does that disclaimer address the Federal  
2 Trade Commission's concern with adequate substantiation? And  
3 I'm going to ask Michelle to address that after you both  
4 provide answers.

5 MS. SARCHIO: If I can jump in and start?

6 MR. FORTSCH: Go ahead.

7 MS. SARCHIO: So, as you can see, in litigation, we  
8 hotly debate each and every issue that comes up.

9 (Laughter.)

10 MS. SARCHIO: And, so, when we get to the  
11 negotiation table and talk about settlement and talk about  
12 disclaimers, the disclaimers that plaintiffs' lawyers and  
13 defense lawyers have agreed to have been hotly contested.  
14 Where are we going to put the comma? Where are we going to  
15 put the period? Which letter is going to be capitalized?  
16 Each and every issue has been carefully vetted by the  
17 plaintiffs' lawyers that are aggressively defending their  
18 clients' interests. And we on the homeopathic industry side  
19 are carefully vetting to make sure that these disclaimers  
20 comply with all the federal rules and regulations that apply  
21 to the companies.

22 And, so, once we finally agree to the language, not  
23 only do we agree to the language, but then we have to make  
24 sure that a judge approves it. And, again, the judge doesn't  
25 just rubber stamp settlement agreements. I have been in many

1 cases where at the final hearing we are debating because  
2 there's an objector or there's somebody that's come in at the  
3 last minute, trying to champion consumer rights, saying that,  
4 wait a minute, is this -- is this class action settlement  
5 fair and reasonable. And we have had to defend our position  
6 and bring in experts to support the disclaimers that, again,  
7 we have so vigorously fought for and agreed to.

8 And court after court has approved these  
9 disclaimers. In fact, I had a case that went all the way up  
10 to the 9th Circuit Court of Appeals, where a settlement was  
11 challenged, and one of the items that was challenged were  
12 whether the disclaimers were effective. And the 9th Circuit  
13 Court of Appeals felt that the District Court judge did his  
14 job in carefully evaluating the benefits to consumers and the  
15 benefits of the settlement and approved that settlement with  
16 the disclaiming language.

17 MR. VOZZOLO: I tend to agree with Ms. Sarchio,  
18 surprisingly, but I do think disclaimers are important. I  
19 think the whole goal behind consumer class actions and civil  
20 litigation is to provide the consumer with full information,  
21 accurate information, truthful information. And I think that  
22 is a critical step in at least providing that consumer with  
23 the necessary information.

24 MR. FORTSCH: Michelle?

25 MS. RUSK: Okay. So, I'll just reiterate one thing

1 I said earlier about disclaimers, which I think to the extent  
2 we're talking about disclaimers that say a homeopathic  
3 product has not been approved by FDA, we still believe that  
4 that's not really getting at the issue that we're most  
5 concerned about, which is that consumers need to understand  
6 that the efficacy claim hasn't been established by accepted  
7 scientific procedure, meaning randomized controlled trials.

8           And this afternoon -- or before the break, when we  
9 had this panel about the science, there seemed to be a lot of  
10 disagreement on a lot of different points, but when we  
11 narrowed it down to the specific issue of should there be  
12 randomized controlled trials to support specific claims about  
13 specific products, I think there was very wide agreement that  
14 that was what was called for. So, when there's not that  
15 level of evidence, that's really what the disclaimer needs to  
16 go to.

17           And I want to go back to something that David  
18 mentioned about referring to a website as a way of letting  
19 consumers know what the evidence is. Under our policy,  
20 disclaimers have to be clear and prominent. They have to be  
21 put in a place where consumers are going to see them. They  
22 have to be worded in a way that consumers are going to  
23 understand. And you can't leave the important qualifying  
24 information in another place. It has to be close to the  
25 claim that you're qualifying.

1           So, any disclaimer that uses an approach of  
2 referring to a website and putting the important qualifying  
3 information there would not be acceptable under FTC law.

4           MR. FORTSCH: So, as much as I would like to go on  
5 longer -- and I do enjoy these sorts of things, since I'm a  
6 lawyer -- I think we do have to conclude. But fortunately,  
7 as I mentioned and I will mention once again, we can accept  
8 your comments. We didn't get to take questions from the  
9 audience. It's even important of those who weren't able to  
10 get their comments or questions up here to file them with us  
11 at FTC.gov on or before November 20th.

12           I want to thank so much the panelists on my panel  
13 today and the panelists that served on the panels moderated  
14 by Mary Engle and Rich Cleland. I just have a couple of very  
15 quick housekeeping items, not nearly as long as the ones that  
16 I provided in the morning.

17           First of all, on a nonsubstantive basis, security  
18 badges can be passed to the people at the desk on the way  
19 out. They do reuse them, so if you got one, please pass in  
20 one on the way out, right outside the door.

21           And I should also -- I can't fail to thank all of  
22 the people at the agency who worked so hard to put on today's  
23 workshop, especially our dedicated Division of Consumer and  
24 Business Education, my colleagues in my division and  
25 managers, and our Office of Executive Director, who organized

1 the workshop so that everything was functioning.

2 And, most importantly, I just want to thank you so  
3 much for taking the time to come out to today's workshop.

4 And I will now adjourn the workshop. Thank you.

5 (Applause.)

6 (At 2:55 p.m, the workshop concluded.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

## 1           C E R T I F I C A T I O N   O F   R E P O R T E R

2

3       MATTER NUMBER: P154502

4       CASE TITLE: HOMEOPATHIC MEDICINE AND ADVERTISING WORKSHOP

5       DATE: SEPTEMBER 21, 2015

6

7           I HEREBY CERTIFY that the transcript contained  
8       herein is a full and accurate transcript of the notes taken  
9       by me at the hearing on the above cause before the Federal  
10      Trade Commission to the best of my knowledge and belief.

11

12

13

DATED:   OCTOBER 5, 2015

14

15

16

JENNIFER METCALF

17

18

19

## C E R T I F I C A T I O N   O F   P R O O F R E A D E R

20

21           I HEREBY CERTIFY that I proofread the transcript for  
22      accuracy in spelling, hyphenation, punctuation and format.

23

24

25

SARA J. VANCE